

NATIONAL INSTITUTES OF HEALTH  
SCIENTIFIC MANAGEMENT REVIEW BOARD

October 26, 2011

Conference Room 6, C Wing  
6<sup>th</sup> Floor/Building 31  
NIH Campus  
Bethesda, Maryland

EBERLIN REPORTING SERVICE  
576 Hooker Drive  
Gettysburg, Pennsylvania 17325  
(717) 334-8200

## I N D E X

<b>Opening Remarks and Agenda Overview</b>	<b>4</b>
Norman R. Augustine Chair, Scientific Management Review Board	
<b>Review of NIH Conflict of Interest Policy</b>	<b>8</b>
Amy P. Patterson, M.D. Executive Secretary, Scientific Management Review Board	
<b>Status of NIH Today and Looking to the Future</b>	<b>10</b>
Francis S. Collins, M.D., Ph.D. Director, National Institutes of Health	
<b>Advancing Translational Sciences</b>	<b>53</b>
Kathy Hudson, Ph.D. Deputy Director for Science, Outreach and Policy, National Institutes of Health	
<b>Discussion</b>	<b>66</b>
<b>Optimizing Substance Use, Abuse and Addiction Research at NIH</b>	<b>83</b>
Lawrence A. Tabak, D.D.S., Ph.D. Co-Chair, Substance Use, Abuse and Addiction Task Force, National Institutes of Health	
<b>Discussion</b>	<b>87</b>
SMRB Members	
<b>Public Comments</b>	<b>88</b>
<b>NIH Clinical Center: Organizational and Budgetary Challenges</b>	<b>97</b>
Stephen I. Katz, M.D., Ph.D. Chair, Clinical Center Governing Board National Institutes of Health	
<b>Discussion</b>	<b>104</b>
SMRB Members	

I N D E X (Continued)

<b>Public Comments</b>	<b>112</b>
<b>Overview of the Small Business Innovation Research (SBIR) and Small Business Technology Transfer (STTR) Programs at NIH</b>	<b>116</b>
Sally J. Rockey, Ph.D. Deputy Director for Extramural Research, National Institutes of Health	
<b>Charge to the SMRB</b>	<b>160</b>
Francis S. Collins, M.D., Ph.D. Director, National Institutes of Health	
<b>Discussion</b>	<b>165</b>
SMRB Members	
<b>Next Steps</b>	<b>188</b>
Norman R. Augustine Chair, Scientific Management Review Board	

## P R O C E E D I N G S

## OPENING REMARKS AND AGENDA OVERVIEW

1  
2  
3 CHAIRMAN AUGUSTINE: Good morning,  
4 everyone. Welcome to what I'm told is the 10th  
5 meeting of the SMRB. I'm sure to some here it seems  
6 like the 30<sup>th</sup> but, Francis, I'm thinking of you but  
7 in any event it has been a busy couple of years.

8 I hope everybody has had a good summer and  
9 that you had a chance for a little bit of a break.

10 Since we have not met for several months  
11 we have a fairly full agenda today.

12 We have a few members who will be  
13 wandering in as the morning goes on and had  
14 conflicts to begin with but will be here.

15 The agenda today begins really with--we're  
16 going to ask Dr. Collins to give a little bit of an  
17 update on what's happening at NIH in the broader  
18 sense and where the Agency sees itself headed into  
19 the future.

20 Then one of the main things we want to do  
21 is talk about the recommendations that the committee  
22 has provided in the past and focus particularly on  
23 three areas, the translational medicine and  
24 therapeutics area, the NIH Clinical Center and the  
25 substance use, abuse and addiction research at NIH.

1       And Francis will be giving us a rather thorough  
2       update on that supported by the individuals who are  
3       most directly involved.

4               Also those reports are available on the  
5       SMRB website and the members can find two of the  
6       three reports in the front part of your meeting  
7       binder you have today.

8               Then this afternoon we'll talk about a  
9       future task that Francis has asked that we consider  
10      undertaking and we will have time to discuss that  
11      and any other issues that members want to raise.

12              We do have a new member who is not here  
13      just at the moment but will be shortly. It's Dr.  
14      Roderic Pettigrew. As most of you know, Dr. Jeremy  
15      Berg left the NIH earlier this year. Rod has agreed  
16      to take his place and will join us in a moment and  
17      we'll introduce him at that time more formally.

18              Maybe what we should do is just for the  
19      benefit of those who are guests go around the table  
20      and introduce ourselves.

21              As I said, I'm Norm Augustine. I'm  
22      chairman of the SMRB.

23              And why don't we just go around this way?

24              DR. SHURIN: Susan Shurin. I'm the acting  
25      director of the NHLBI.

1 DR. GREEN: Eric Green, director of the  
2 National Human Genome Research Institute.

3 DR. RODGERS: Griffin Rodgers, director of  
4 the National Institute of Diabetes, Digestive and  
5 Kidney Diseases.

6 DR. RUBENSTEIN: Arthur Rubenstein from  
7 the University of Pennsylvania.

8 DR. BRODY: Bill Brody, Salk Institute.

9 DR. COLLINS: Francis Collins, director of  
10 NIH.

11 DR. CASSELL: Gail Cassell, visiting  
12 professor at Harvard University in the Department of  
13 Global Health and Social Medicine.

14 DR. KATZ: Steve Katz, director of the  
15 National Institute of Arthritis and Musculoskeletal  
16 and Skin Diseases.

17 DR. POWELL: Debra Powell, University of  
18 Minnesota.

19 DR. PATTERSON: Amy Patterson, executive  
20 secretary for the committee, NIH.

21 CHAIRMAN AUGUSTINE: Thank you.

22 Let me also just take a moment to welcome  
23 those who are our guests at the meeting today. We  
24 appreciate your interest in our work and thank you  
25 for taking your time to join us.

1           I should note there will be public comment  
2 periods that are spaced through the agenda. There's  
3 a place to sign up out in the hall if you would like  
4 to comment on any topic relevant to the SMRB's work.  
5 We will take speakers in the order they signed up  
6 and, hopefully, there will be time available for  
7 everyone to make whatever comments they'd like to  
8 make but in that regard we will ask that you hold  
9 your comments to five minutes. So if you would be  
10 thinking about that as you prepare what you might  
11 want to say.

12           I'd like to emphasize that if you have  
13 longer comments you would like to make or more  
14 formal comments we welcome letters, email, post  
15 cards, whatever, and we will post those on our  
16 Web site when we receive them but, as I say, we  
17 really do appreciate the comments we get. We have  
18 had quite a number and they have been helpful and  
19 many have offered constructive suggestions.

20           The next item of business is the minutes  
21 for the meeting of November 10th, December 7th and  
22 February 23rd have now been formally completed and  
23 I'm told that I should particularly thank Steve  
24 Katz, Bill Roper, Susan Shurin and Bill Brody for  
25 their inputs on those minutes. You have them before

1 you. Would anyone want to make a motion to approve  
2 those sets of minutes?

3 DR. : So moved.

4 CHAIRMAN AUGUSTINE: Second?

5 DR. : Second.

6 CHAIRMAN AUGUSTINE: Thank you.

7 Okay, all those in favor?

8 (Chorus of ayes.)

9 CHAIRMAN AUGUSTINE: Opposed?

10 The ayes have it.

11 And now we need to go through, as we  
12 usually do, the conflict of interest policy for this  
13 committee. We do that in keeping with the  
14 government regulations in that regard.

15 Dr. Patterson is the expert on that  
16 subject and so we will call on you, Amy.

17 **REVIEW OF NIH CONFLICT OF INTEREST POLICY**

18 DR. PATTERSON: Fasten your seat belts.

19 This is going to be exciting.

20 As members of this committee you are  
21 special government employees and, indeed, members of  
22 this committee are very special government  
23 employees, and therefore you are subject to the  
24 rules of conduct that apply to government employees.

25 These rules and regulations were explained

1 in a report entitled *Standards of Ethical Conduct*  
2 *for Employees of the Executive Branch*, and you each  
3 received a copy of this document when you were  
4 appointed to the committee.

5 And at every meeting in addition to  
6 reminding you about following the ethics rules we  
7 also like to pause and review the steps that we take  
8 and ask you to take to ensure that any conflicts of  
9 interest between your public responsibilities on  
10 this committee and your private interests and  
11 activities are identified and adequately addressed.

12 As you know, before every meeting you  
13 provide us with a lot of information about your  
14 professional, personal and financial interests and,  
15 in turn, we use this information as the basis for  
16 assessing whether you have any real, potential or  
17 even apparent conflicts of interest that could  
18 compromise your ability to be objective in giving  
19 advice during committee meetings.

20 If such conflicts are identified we either  
21 issue a waiver or recuse you totally from a  
22 particular portion of the meeting. We usually waive  
23 conflicts of interest for general matters as opposed  
24 to specific matters because we believe your ability  
25 to be objective on those general matters will not be

1 affected by your interests.

2           However, we also rely to a great degree on  
3 you to be attentive in real time during our meetings  
4 to the possibility that an issue could arise that  
5 affects or at least appears to affect your interest  
6 in a specific way. And if this happens, please let  
7 us know and we would ask you to recuse yourself from  
8 the discussion.

9           And always, if you have any questions  
10 about these rules or regulations, we'd be happy to  
11 address them.

12           And that's it, Norm.

13           CHAIRMAN AUGUSTINE: Okay.

14           Amy, thank you.

15           Does anybody have any questions on this  
16 topic at this point that you want to raise to the  
17 group as a whole?

18           Hearing none, we'll proceed.

19           The first item on the agenda dealing with  
20 the issues at hand is to call upon Francis to give  
21 us his update on where NIH stands and the challenge  
22 it faces and the vision for the future.

23           So the floor is yours.

24           **STATUS OF NIH TODAY AND LOOKING TO THE FUTURE**

25           DR. COLLINS: Thank you very much, Norm.

1                   Good morning to all of you.

2                   I'm a little under the weather with a bit  
3 of a virus but happy to be here just the same. I  
4 will explain already my unwillingness to shake your  
5 hands today because I didn't want to share this  
6 particular little bit of DNA with you or maybe it's  
7 RNA but whatever it is you don't want it.

8                   (Laughter.)

9                   Sorry that Gail and Bill seem to have  
10 drawn the short straws and have to sit next to me  
11 but hopefully I will avoid contaminating you or the  
12 rest of the room.

13                  But I'm really pleased the SMRB has  
14 gathered here again to hear some reports on what's  
15 happened with a variety of tasks that you have, I  
16 think, nobly and ably assigned us with and which we  
17 are nobly and ably trying to follow-up on. And you  
18 will be hearing about those in the course of the  
19 morning.

20                  The SMRB certainly plunged in to its  
21 agenda with great energy and vigor and produced for  
22 us no less than four reports in a rather rapid fire  
23 fashion, three of which involve substantial  
24 investigation of new organizational structures at  
25 NIH, some of which turned out to be even more

1 complicated than those of us who have been here for  
2 a while had thought. So we have been very busy and  
3 I hope you will get a sense of that this morning as  
4 we provide you with some follow-up on those  
5 recommendations as they relate to three of those  
6 topics.

7 I thought what I would do though just to  
8 get this kicked off is to give you a sort of broader  
9 view of where things are in terms of the scientific  
10 opportunities at NIH and some of the stresses that  
11 we're facing as well.

12 We have wonderful leadership here and it's  
13 my pleasure to have a chance to serve as the person  
14 who tries to steer the ship but I would get nowhere  
15 were it not for the remarkable talents of the 27  
16 Institute and Center directors and also all of the  
17 other senior staff and down through the ranks, the  
18 thousands of people who work at this remarkable  
19 institution.

20 By the way, we have one new Institute  
21 director in the room that you have not met before  
22 that I might want to point out to you. Martha  
23 Somerman, who is over here--yes, raise your hand--is  
24 the new director of the National Institute of Dental  
25 and Craniofacial Research and has been with us since

1 the last day of August or something approaching  
2 that. It's delightful we were able to recruit her  
3 from the University of Washington to come and lead  
4 that particular Institute. I hope in the course of  
5 time you'll get to know Martha a bit.  
6 She's a wonderful talent to add to our ranks.

7           So yes, we are, I think, at this  
8 paradoxical point at NIH where having now been here  
9 myself for 18 years I think I could say that the  
10 scientific opportunities have never been more  
11 exhilarating, never more potential present than  
12 there is now for revolutionizing medicine. And  
13 that's across the board in cancer, infectious  
14 disease, diabetes, heart disease, rare diseases,  
15 common diseases, neglected diseases of the  
16 developing world.

17           The potential for make major breakthroughs  
18 with profound implications for human health is just  
19 around us every day and that's what makes it  
20 exciting to wake up every morning and see  
21 what's going to happen in this research agenda that  
22 we have the privilege of leading as the largest  
23 supporter of biomedical research in the world. But  
24 we are faced with a historic challenge in terms of  
25 resources so that is the paradox.

1           I think there has not been a time that  
2 people here can remember where the support for  
3 biomedical research has been under more stress. And  
4 that is of course a consequence, the way in which  
5 our country and much of the world is struggling with  
6 the difficult economic situation, with large  
7 deficits that have to be addressed and so we are, I  
8 guess, more than ever in a circumstance of having to  
9 choose priorities carefully, being willing to say  
10 that there are some things that we're going to have  
11 to scale back in order to be able to do new things  
12 because if we ever stop innovating then we shouldn't  
13 probably deserve to be supported. We have to be out  
14 there on that leading edge of the new potential of  
15 new things but it is not an easy time to try to make  
16 such difficult decisions.

17           We also, I think, are now in a  
18 circumstance where we have to be more effective than  
19 ever in articulating the value of what we do and not  
20 just assuming that it speaks for itself and that  
21 everybody already knows this. And that implies a  
22 need to articulate both the medical advances that we  
23 have the potential of creating that are going to  
24 benefit millions of people but also to be able to  
25 explain economic consequences of our research

1 enterprise in a way that makes it clear that dollars  
2 invested in NIH are also a good pathway towards  
3 recovery of the economy and support of jobs and so  
4 on. And certainly some of us have learned to  
5 include those comments in many presentations that we  
6 make in order to try to address what's on  
7 everybody's mind right now, which is the struggling  
8 economy.

9 I will spare you some of those statistics  
10 this morning but I could rattle them off quite  
11 readily if you were interested in hearing and, in  
12 fact, the economic analyses that have been done,  
13 including just in the last few months, are extremely  
14 compelling in terms of payback, the return on  
15 investment that occurs from NIH investments.

16 I thought, though, I would focus instead  
17 more on scientific opportunities and would do so in  
18 a fashion that reflects actually the case that we're  
19 trying to make right now because, believe it or not,  
20 we're already in the throes of trying to make the  
21 case for the FY13 budget even though we don't have  
22 an FY12 budget yet.

23 As you probably know, the budget  
24 for FY12 is hanging in the balance of a lot of  
25 discussions going on even though FY12 started on

1       October 1st and we are living in a continuing  
2       resolution as we often do at this time of year in  
3       hopes that the Congress will come up with some kind  
4       of plan between the House and the Senate that the  
5       President can sign and then we'll know what our  
6       resources are. But meanwhile because of long lead  
7       times we are already in the process of defining ways  
8       to make our case for FY13.

9                       (Slide.)

10                      And in that regard there are these four  
11       themes which cover a lot of territory and perhaps  
12       won't surprise you but I think are actually quite  
13       compelling in their own way in terms of those  
14       extraordinary opportunities. And I just want to  
15       touch briefly on each of these and then you'll hear  
16       more details about some of them in the course of the  
17       day.

18                      (Slide.)

19                      So first of all in basic research I think  
20       it is critical to point out that NIH's 52 percent of  
21       budget that goes to basic research is the sort of  
22       thing which simply will not get done elsewhere if  
23       not supported by NIH dollars. These are the kinds  
24       of programs that are generally seen as too far away  
25       from any commercial output to be supported in the

1 private sector.

2 (Slide.)

3 And there's a lot of excitement about  
4 this. And I was very pleased to see the President  
5 include this paragraph in a speech he gave about a  
6 month ago at Thomas Jefferson high school as part of  
7 the Patent Reform Act. And some of us had the  
8 chance to be there for that and to meet with him  
9 afterwards. And certainly it's helpful to see this  
10 very clear statement of the importance of investing  
11 in basic research and technology "So that great  
12 ideas of the future will be born in our labs and..."  
13 he says, "...in classrooms like these at TJ high  
14 school," which is a remarkable magnet school in the  
15 Virginia suburbs of Washington.

16 (Slide.)

17 Just as an example of something which  
18 started out as a very basic science undertaking but  
19 which is really gathering more steam almost daily is  
20 this whole field of microRNAs. Tiny snippets of RNA  
21 that turn out to be real rheostats on the way in  
22 which gene expression is controlled at a very  
23 refined level so that a particular RNA target of a  
24 microRNA may not be translated as efficiently if the  
25 microRNA is shutting it down.

1           And it's clear this is a significant way  
2           in which gene regulation is maintained by cells and  
3           organisms and maybe even a new way for endocrinology  
4           to find a new life because it seems microRNAs can,  
5           in fact, be exported by one cell to be received by  
6           another, an interesting concept.

7           There's even a paper, though I'm not quite  
8           sure I believe it yet, that suggests that you really  
9           are what you eat because a Chinese group studying  
10          microRNA circulating in the human plasma discovered  
11          fairly significant quantities of plant microRNAs  
12          that have somehow made it through the GI tract  
13          barrier. And at least one of those very abundant  
14          plant RNAs seems to have a target in the liver that  
15          affects liver metabolism--I mean lipid metabolism.  
16          Now wouldn't that be interesting?

17          Our diet is in some way now revealing  
18          itself on a molecular pathway that nobody could have  
19          imagined. The so-called exosome where these  
20          microRNAs travel throughout the body may not just be  
21          ours but some of those that are around us, including  
22          what we put in our mouth. So a fascinating area to  
23          be sure and much excitement here, and yet it started  
24          as a very back water kind of aspect of basic  
25          science.

1 (Slide.)

2 Even in the study of genetic factors in  
3 chronic unexplained diseases like schizophrenia. I  
4 couldn't help but notice the first report in this  
5 case where a microRNA turns out to be one of the  
6 risk factors for this disease. MicroRNA 137 turns  
7 out to have a variant which is associated with  
8 schizophrenia risk and that same microRNA turns out  
9 to regulate a whole bunch of genes in the brain. So  
10 it can make a pretty nice story here so something to  
11 watch. Another area to watch, of course, is the  
12 induced pluripotent stem cells and the remarkable  
13 advances that have happened here.

14 (Slide.)

15 Just to sort of titillate you here, that  
16 is a photograph of some induced pluripotent stem  
17 cells that have been differentiated in the cardiac  
18 myocytes and are sitting there on the petri dish  
19 contracting just like they should being cardiac  
20 myocytes. And the ability to take skin cells or  
21 blood cells from you or I and turn them into  
22 pluripotent cells certainly has been a dramatic  
23 development.

24 (Slide.)

25 And just to draw these two things

1 together. We now are seeing more and more papers  
2 published about ways to create IPS cells that don't  
3 involve the use of integrating retroviruses, which  
4 has been a bit of a concern. One of which, in fact,  
5 brings us back to microRNAs as another way to do  
6 reprogramming. Again, demonstrating a connectedness  
7 in all this.

8 (Slide.)

9 So pretty cool stuff in basic science and  
10 certainly something which NIH has stood for, for a  
11 long time, as in this quote from James Shannon,  
12 whose name adorns the building where I spend my time  
13 over there. Building 1 is also called the Shannon  
14 Building. And I think we've done pretty well here  
15 in demonstrating the effectiveness of this as of  
16 this latest season where the three grantees - the  
17 three Nobel laureates announced for their advances  
18 in immunology had all been at some time NIH  
19 grantees. So we claim credit for a lot of what's  
20 happened.

21 (Slide.)

22 So basic research clearly flourishing.  
23 The kind of area where we seek not to be too top  
24 down in our motivation of trying to drive the field.

25 Happy to tell you if you didn't already

1 see the announcement that we have recruited--and he  
2 will be coming in August--in April--a new director  
3 of the National Institute of General Medical  
4 Sciences where a great deal of basic science goes  
5 on. And that is Dr. Chris Kaiser, who is currently  
6 the chairman of biology at MIT. A wonderful  
7 opportunity to bring somebody with really remarkable  
8 credibility as a scientist and a leader into our  
9 midst and he will be of course stepping into the  
10 role that was previously held by Jeremy Berg who led  
11 that Institute very capably indeed. And Chris,  
12 therefore, walks into an Institute that is already  
13 in great shape but with lots of ideas of his own.

14 (Slide.)

15 Of course, technology is playing an  
16 increasingly important role in NIH advances. The  
17 days where people used to dream about maybe  
18 engineers and biologists getting together in greater  
19 ways have been replaced by days where they seem to  
20 be together on a lot of interesting projects. And  
21 no less I suppose than the case of DNA sequencing.

22 This curve showing you what's happened to  
23 the cost of DNA sequencing over the last ten years  
24 dropping really at a profound level. That is a log  
25 scale on the Y axis. Outstripping Moore's law quite

1 dramatically with costs now something like 30,000  
2 fold less than they were ten years ago making all  
3 kinds of things possible where previously we  
4 couldn't imagine. Eric Green, as director of NHGRI,  
5 could fill in lots of those examples.

6 (Slide.)

7 I'll just give you one where this kind of  
8 approach is now opening up a window to discovering  
9 the causes of diseases that previously were out of  
10 reach because they were too rare basically to allow  
11 you to go after the answer.

12 These two sisters that you see here both  
13 suffered from an unusual disorder with progressive  
14 debilitating joint pain and calcium build up in the  
15 arteries of their extremities but not their coronary  
16 arteries. They came to the NIH to the Undiagnosed  
17 Diseases Program, which is run here at our Clinical  
18 Center by Bill Gall and a cast of about 30 other  
19 investigators, underwent some extensive analysis  
20 which ultimately resulted in the discovery that they  
21 have a new disease. Namely they are both homozygous  
22 for loss of function of a gene that codes for CD73,  
23 which is actually an enzyme that converts AMP to  
24 adenosine. And this both tells us what caused their  
25 disease and also points to a pathway involved in

1 normal vascular homeostasis that we didn't know  
2 about and probably has significance for  
3 cardiovascular disease in general.

4           This kind of outcome of being able to come  
5 up with an answer to a disease with just two  
6 affected individuals was unimaginable a few years  
7 ago. And now if you read the pages of *Nature*  
8 *Science*, *Cell*, the various genetics journals you'll  
9 see almost every month three or four more examples  
10 of rare diseases that have been unraveled by direct  
11 DNA sequencing of affected individuals even if there  
12 are few of them available.

13           (Slide.)

14           Of course, NIH's mission is both to  
15 understand the basics of how life works but to apply  
16 that to try to advance human health. This is the  
17 translational agenda which has been for a long time  
18 an important part of our portfolio, which are now  
19 with your encouragement particularly looking at in  
20 new ways.

21           Of course, the SMRB deliberated quite  
22 effectively and intensively over the course of  
23 several months last year and made a recommendation  
24 back in December that NIH might be advantaged by  
25 coming up with a new way of encouraging

1 translational science.

2           We found that to be very interesting; I  
3 accepted your recommendations. We had a lot of  
4 follow-up conversations with you and with many other  
5 experts in the field, including those in the private  
6 sector, in academia, and with advocates.

7           (Slide.)

8           This paper, which is in your notebooks, is  
9 a summary as of July on my part of putting forward  
10 what the opportunities are here scientifically that  
11 might make this dream that Arthur Rubinstein and his  
12 hardworking working group put forward could look  
13 like. And I think over the course of those months  
14 this really did mature into quite an exciting set of  
15 specific opportunities. Some of which we are  
16 pursuing already pretty vigorously.

17           Kathy Hudson is going to talk about NCATS  
18 in a subsequent session and give you considerably  
19 more detail about where we are with this so I'm not  
20 going to do so at this time to avoid duplication but  
21 I think it's fair to say we are all very energized  
22 by the potential that this National Center for  
23 Advancing Translational Sciences puts forward and  
24 grateful to this group for having the wisdom and the  
25 vision to be able to sift through those many issues

1 and make some very helpful and important  
2 recommendations.

3 (Slide.)

4 Many things happening in translational  
5 sciences in the Institutes as well and one just to  
6 highlight here. The opportunity to see whether we  
7 could get beyond the need for an annual influenza  
8 vaccine and come up with an approach that would  
9 provide immunity not only against just one  
10 particular strain that pops up one year but across  
11 all influenza strains including not just H1N1 but  
12 H5N1.

13 And this is a very active area of research  
14 which has made remarkable progress in the last  
15 couple of years. A lot of it happening here at the  
16 Vaccine Research Center across campus that Gary  
17 Nabel oversees.

18 The diagram basically points out what the  
19 strategy is but generally immunity is generated to  
20 the most highly exposed part of the influenza virus  
21 hemagglutinin but that's the part that is also highly  
22 variable so every one of those red areas are areas  
23 that vary from virus to virus and therefore you can  
24 see why raising an anti-serum against one may not  
25 protect against the other. But careful combination

1 of structural analysis, immunology, genetics and so  
2 on has pointed out that there are parts of this  
3 molecule that are not variable and really can't be  
4 or the whole thing falls apart and that those could,  
5 therefore, be an appropriate target if you could  
6 convince the body to generate an antibody against  
7 that particular part of the protein. And that is,  
8 in fact, vigorously underway with an expectation  
9 that this is likely to pan out.

10 (Slide.)

11 In fact, Tony Fauci, who will be with us a  
12 little later on this morning, has made an estimate  
13 here about what the time line might look like. The  
14 basic and pre-clinical studies done going back to  
15 2007. Phase 1 human clinical trials are now  
16 underway. Phase 2 expected in 2013. Additional  
17 studies in partnership with the private sector in  
18 2014 and by 2015 licensure studies and application  
19 for licensure.

20 And given that 36,000 people still die  
21 every year of just the usual seasonal flu, and that  
22 many of those are people who are unimmunized in part  
23 because it's just darned inconvenient to get your  
24 flu shot every year, and seems possible that we  
25 might be able to make a major advance here with this

1 particular kind of science.

2 (Slide.)

3 I can't talk about translational science  
4 and the advances that have happened without  
5 highlighting the remarkable event that happened in  
6 September with the awarding of the Lasker Bloomberg  
7 Public Service Award to the NIH Clinical Center.  
8 Some of us had the privilege of being there as John  
9 Galen for the Clinical Center received this  
10 remarkable award and spoke about the way in which so  
11 many people over the course of many decades have  
12 contributed to make this so and all of the ways in  
13 which this has revolutionized our approach to  
14 diseases like cancer and rare diseases and HIV/AIDS  
15 and so on. So this is really a wonderful moment to  
16 be able to celebrate what this clinical center, the  
17 largest research hospital in the world, has been  
18 able to accomplish.

19 (Slide.)

20 This fourth area is to my mind the most  
21 important. We can have lots of great ideas about  
22 what might be possible but if we don't have the  
23 investigators to drive that forward and to come up  
24 with the ideas that none of us have thought of yet  
25 then this will all fail to go forward at the pace

1 that it could. This again is a constant source of  
2 struggle and some anxiety, frankly, right now with  
3 resources being tight. It there's something that  
4 wakes me up in the middle of the night, other than  
5 having this nasty cold, is thinking about what are  
6 we doing to try to nurture and encourage scientists  
7 who are maybe just coming into their own independent  
8 phase and wondering whether there's a career path  
9 for them when things are pretty tight right now.

10 We have done a number of things to try to  
11 encourage innovative ways to support such  
12 investigators and here are just a few: We are  
13 starting this new program to try to bring clinical  
14 investigators into NIH in a fashion that might  
15 resemble the things that happened in the '60s and  
16 the '70s that were so productive in terms of  
17 nurturing our next set of talented individuals, many  
18 of whom then went on to populate our nation's  
19 universities and to lead great programs.

20 So that in partnership with the Lasker  
21 Foundation is to create a program to bring such  
22 clinical researchers to NIH to give them a protected  
23 period of five to seven years to conduct their own  
24 independent research taking advantage of the  
25 Clinical Center.

1           And then if they are successful either to  
2           become tenured as an intramural investigator or to  
3           have resources to take with them to go elsewhere to  
4           a university to start their own program so that  
5           they're not caught in this situation of needing  
6           support on day one. They will have it as a dowry  
7           effectively to take with them wherever they wish to  
8           go.

9           The transformative R01s, the Pioneer  
10          Awards, the new Innovator Awards are all programs  
11          supported from the NIH Director's Common Fund.  
12          Those are difference ways that we encourage  
13          investigators to come forward with ideas that have  
14          to be innovative that you don't get into the mix  
15          unless what you're proposed is bold and, if  
16          successful, would actually change the paradigm.  
17          Those are reviewed in a very rigorous way in terms  
18          of encouraging the innovation and not worrying too  
19          much about the preliminary data or the buffer  
20          concentrations.

21          This new award which we have just  
22          announced the first winners about a month ago is  
23          affectionately known as the Skip the Post Doc Award  
24          which is made available to the most talented  
25          M.D./Ph.D. or M.D./Ph.D. graduates who have just

1 finished their doctoral training and who are ready  
2 for a independent role and don't necessarily have  
3 the need for a post doctoral fellowship which may  
4 take quite a few years and may delay their abilities  
5 to do their own creative and independent research.

6  
7 I had a very good time reading the  
8 applications that came in, in this this first cycle  
9 of the Early Independence Awards to see what these  
10 investigators are proposing and it gave me great  
11 optimism about the path that we're on and the talent  
12 that is out there. And certainly if this seems to  
13 go well we would hope perhaps to see it expanded and  
14 perhaps have some of the Institutes adopt similar  
15 programs in their own training program. This again  
16 at the moment is a fairly small program supported by  
17 the Common Fund.

18 (Slide.)

19 But we have some other major challenges  
20 in our research workforce. And certainly one major  
21 one that has been even more apparent in the last few  
22 months after the publication of the manuscript which  
23 was commissioned by NIH looking at diversity in our  
24 workforce, we are woefully short of where we would  
25 like to be in terms of having evidence that we are

1 recruiting the best and brightest from all groups.

2

3

4 Certainly if you look at the  
5 representation of African Americans, Latinos, Native  
6 Americans in our scientific workforce it is  
7 substantially and woefully reduced relative to the  
8 general population. And that basically means we're  
9 missing out on recruiting some of that talent  
10 because we are not seen as a pathway for some of  
11 those most gifted individuals and that is a loss to  
12 us and to them.

13

14

15

16

17

18

19

20

21

22

23

24

25

So we clearly need to work on our  
recruitment programs. Even though NIH has invested  
substantially in this over many decades it's not  
clear that we have really developed a sense of what  
works and what doesn't. And we are embarking upon  
an effort to try to understand that better.

The paper that came out in the summer, the  
Ginther, et al. paper in *Science*, the senior author  
being Raynard Kington, former Deputy Director and  
Acting Director of NIH, focused on what happens to  
individuals who do get through the training programs  
and end up as applicants to NIH for independent  
research grants, specifically R01s.

And the disturbing aspect of this was that

1 African American applicants have a substantially  
2 lower success rate even when you correct for all of  
3 the factors that you might think could potentially  
4 account for that, such as institutions where  
5 individuals have trained, what kind of training  
6 grant opportunities they had and so on. This is  
7 just an unacceptable situation and we clearly need  
8 to do something to try to change that around.

9           One thing that clearly correlates with  
10 success, and which I think indicates that part of  
11 the problem here is a lack of mentoring or a lack of  
12 experience is that if one has the chance to serve as  
13 a reviewer of other grants early in your career that  
14 clearly improves your ability to be successful. And  
15 you can see why that would be. The kind of learning  
16 experience one has by sitting in the room with other  
17 peer reviewers and going through other grants is  
18 invaluable.

19           We also, though, I think have to consider  
20 whether there is some inherent bias in the system  
21 even though grant applications are not identified by  
22 racial or ethnic group. It is possible in many  
23 instances, I think, to figure that out if somebody  
24 is trying to do so or even not trying to do so. Is  
25 there unconscious bias potential here that we have

1 to be aware of?

2 (Slide.)

3 In order to take this on in a most serious  
4 way we have already instituted a number of  
5 initiatives but one specific group that we're  
6 seeking now to try to identify other activities is  
7 a working group on diversity and the biomedical  
8 research workforce which is going to be part of my  
9 Advisory Committee to the Director and specifically  
10 looking as you can see here on these transitions  
11 points, which is often where we lose people in the  
12 training program and we need to understand why that  
13 is. Their recommendations are due in the interim  
14 form by this December ACD meeting and final ones by  
15 next June.

16 (Slide.)

17 And you can see this is a very impressive  
18 group of individuals who have agreed to take part in  
19 this rather intense examination of our programs and  
20 to give us recommendations about where to go. The  
21 co-chairs being Reed Tuckson, John Ruffin and Larry  
22 Tabak, who can tell you much more about this as he  
23 has been asked and has willingly embraced the  
24 opportunity to put a lot of his time into this  
25 effort.

1 (Slide.)

2 Another related question, though, and one  
3 that I think is on everybody's minds especially in  
4 the sort of difficult resource situation we are in  
5 what's the right size of our biomedical research  
6 workforce?

7 In any given week I will have somebody say  
8 to me, "You aren't training enough doctoral level  
9 biomedical researchers. We need more of this and  
10 that out there." And somebody else will say,  
11 "You're training too many biomedical researchers.  
12 We have a glut of Ph.D.s stuck in post docs and not  
13 enough positions to find their ways into."

14 Both of those can't be right. Part of it  
15 is I think our unfortunate tendency to assume that  
16 the only really acceptable pathway for a doctoral  
17 trained biomedical researcher is to end up as a  
18 tenure track investigator in a top tier university  
19 because that's often where they were mentored and  
20 that's often, therefore, where they see their role  
21 models. And that is a complete disservice to our  
22 trainees and to the community and that's something  
23 we need to work on.

24 So, we clearly need a better understanding  
25 of the dynamics of the workforce. What is the

1 supply of talent coming into that? Especially with  
2 dynamics that are changing quickly on the  
3 international stage where we can't simply count on  
4 this remarkable flow of talent coming from other  
5 countries or, if it continues to come, we can't  
6 count on it staying the way we used to. And why is  
7 it that American students are so disinterested in  
8 many instances in coming to be part of our  
9 workforce? What's that about? And what are those  
10 trends looking like?

11                   And then what about the demand? It's not  
12 just about universities who need faculty. It's  
13 about all of the other places where doctoral  
14 trainees are needed. Could we try to come up with a  
15 model of this?

16                   (Slide.)

17                   So that's what we're trying to do seeking  
18 some stakeholder input with an RFI, which has  
19 already happened. And this group, ably led by  
20 Shirley Tilghman, President of Princeton, and Sally  
21 Rockey, our chair here of extramural research who  
22 you'll hear from this afternoon on a different  
23 topic, and with this pretty impressively diverse  
24 group of experts is going to be looking at this from  
25 the perspective of what's the right size and what

1 are the levers that NIH could pull systematically to  
2 try to address these situations.

3           Recognize that we do not train all of the  
4 biomedical researchers in the U.S. but we train a  
5 lot of them, both in terms of people who are listed  
6 on grants of principal investigators that we support  
7 through our grants program, those frankly we have a  
8 little less control over, but then there's another  
9 fraction where we have rather direct input because  
10 their individual or institutional training grants  
11 pre-doc and post doc.

12           And there we could clearly, if we knew  
13 what the right thing to do was, have an impact on  
14 both the quality and the quantity of trainees that  
15 are coming through. And the quality of training  
16 clearly needs to be attended to as well. It's not,  
17 not sufficient to say how many people we need. It's  
18 also critical to say and how should we be training  
19 them to be ready for the kind of opportunities that  
20 are out there.

21           (Slide.)

22           So all of these things give you a  
23 snapshot, I guess, of the great opportunities in  
24 science that are in front of us right now but here  
25 is the reality check that causes us to actually have

1 some considerable concerns about how to support this  
2 and that forces really serious consideration about  
3 priorities.

4 In the blue bars here is the  
5 appropriations in dollars for NIH since 1998. You  
6 can see the doubling that happened between '98 and  
7 2003 that put us in a very strong position to have a  
8 lot of exciting research going on that otherwise  
9 might not have been possible to start. But then you  
10 can see the flattening of the budget that happened  
11 after with the exception of the Recovery Act dollars  
12 in '09 and '10.

13 In yellow, though, is what happens when  
14 you apply to this the Biomedical Research and  
15 Development Price Index, the BRDPI. And you can see  
16 that that has eroded our buying power quite  
17 substantially since 2003. And, in fact, if you  
18 draw a line there, assuming that we get the  
19 President's budget for FY12, which would be quite  
20 optimistic at this point, we would still be back  
21 somewhere in the neighborhood of where we were ten  
22 years ago as far as our buying power even as the  
23 scientific opportunities have grown and expanded  
24 quite dramatically.

25 The consequences of this to a parameter

1       which many of our applicants are concerned about,  
2       namely success rates, are clear and are deeply  
3       disturbing.

4                       (Slide.)

5               You can see since 1978 the success rates  
6       for a grantee coming to us have tended to be in this  
7       zone between 25 and 35 percent which most of us  
8       would say is fairly healthy. But it has become less  
9       healthy in the last seven or eight years. And as  
10      that budget flattened off in 2003 you can see what  
11      has been Happening. As our buying power has  
12      decreased the cost of research has been going up  
13      by inflationary index. And then in 2011 the current  
14      estimate is that the success rates were 17.4  
15      percent. It's the first time in history they have  
16      been less than 20 percent.

17              And, of course, we don't know in 2012  
18      what to expect or what will happen beyond that.  
19      Much of that now rests in the hands of the super  
20      committee because if they are unable to come up with  
21      a plan for cutting the deficit and the so-called  
22      sequesters kick in we could see an extremely  
23      Draconian outcome for all aspects of government  
24      support, including the National Institutes of  
25      Health.

1           Again, this then forces all of us  
2           to look with great care at all the things we're  
3           doing and I guess one can think of various  
4           strategies for dealing with these budget challenges.

5           Of course, as I said at the beginning, one  
6           thing we must do is to make the case for NIH as  
7           articulately as we can just in case people aren't  
8           aware of just what the value is of what we do and we  
9           have to work even harder with all of our other  
10          advocacy groups to try to be sure that message is  
11          getting across.

12          What we have been doing, of course, by  
13          necessity as these dollar figures have begun to get  
14          tighter is to trim spending across the board. This  
15          year--this past year, FY11, for the first time we  
16          effectively reneged on out year commitments to  
17          multi-year grants in order to try to keep monies  
18          available for new grants. And even so we did not  
19          end up, as you saw there, with a very good outcome  
20          as far as success rates.

21          Then, of course, we do have to evaluate  
22          and rearrange our research portfolio focus on both  
23          programs that are perhaps less productive than they  
24          used to be as well as areas of research that perhaps  
25          need a boost or not. And every Institute director

1 is deeply engaged in that process. Perhaps this is  
2 one of those circumstances where one could say we  
3 shouldn't waste a good crisis and we should make  
4 some very difficult decisions about priorities that  
5 would be harder perhaps to institute in better  
6 times.

7           Then there are much more, sort of  
8 controversial but I think we have to consider them,  
9 ways of managing NIH resources that potentially  
10 could be considered. Such as do we really need to  
11 think about whether every principal investigator  
12 ought to have some sort of limit on how much  
13 resources they are given in order to spread the  
14 money around a bit more? Should we say, for  
15 instance, that no individual should have more than  
16 three R01s? There are people who have more than  
17 three R01s. They are generally very productive  
18 though.

19           And having talked about these--some of  
20 these issues in front of AAU presidents and  
21 chancellors it was clear there were deep concerns  
22 about stepping away from NIH's perspective which is  
23 basically meritocracy, and applying any other  
24 specific rules even though it might seem to be the  
25 way to provide a broader number of investigators

1 with support. Is that really what this is about?

2           So lots of things on that list that we are  
3 thinking about and we have put some data up on the  
4 NIH Home page for people who are interested in  
5 providing advice about this so that one can, for  
6 instance, figure out how many dollars do you  
7 actually free up if you make one of these decisions.  
8 If you decide to say no investigator can have more  
9 than three ROIs, what does that really do? Does  
10 that make that big a difference in the number of new  
11 and competing grants you're able to give that year?

12           That data is up there for people to look  
13 at. We have made no decisions about this but we  
14 think it's a good time to have a conversation with  
15 our most important constituents, the universities,  
16 institutes and the grantees. And we look forward  
17 to having more of that in the next few months as we  
18 try to figure out how to negotiate these troubled  
19 waters. So your suggestions in that regard would be  
20 welcome as long as you're willing to wade into that  
21 territory.

22           (Slide.)

23           And again here is the website which has a  
24 lot of the data on there if people are interested in  
25 having a look and trying to see what the

1 consequences might be of pulling some of these  
2 levers that we potentially have access to, but we  
3 want to do so only with great care because obviously  
4 almost everything we would do will have both the  
5 expected results and some secondary consequences,  
6 and you don't want to be surprised by those. You  
7 have you want to have your eyes wide open.

8 (Slide.)

9 So I will basically stop there and quote  
10 the President here in terms of another thing that he  
11 said a month ago. "If we're going to create jobs  
12 now and in the future we have to out-build and out-  
13 educate and out-innovate every other country on  
14 earth." We, at NIH, would like to contribute to  
15 that and aim to do so as vigorously as we can with  
16 the resources we are given.

17 So thank you very much for giving me the  
18 chance to put forward these ideas and I'll be glad  
19 to answer questions if that will be good and I'll  
20 come back to my place to do so.

21 CHAIRMAN AUGUSTINE: Thank you, Francis.

22 We'll open the floor to questions from the  
23 members.

24 DR. RUBENSTEIN: Francis, I know there was  
25 a fair amount of opposition to the translational

1 research center around Congress and various  
2 constituencies which I think at least from my  
3 vantage point you handled extraordinarily well.  
4 I just wonder what your reading of it is now and now  
5 that you have got it in place how the scientific and  
6 other communities are thinking about it?

7 DR. COLLINS: Kathy Hudson will say a bit  
8 more about this but, briefly, I think as the concept  
9 of what the translational center's goals were going  
10 to be became more clear in people's minds, and the  
11 notion that this was a drug discovery company or a  
12 drug development company for NIH became clearly not  
13 the plan, the embracing of the overall plan has  
14 grown substantially. And I think my experience now  
15 talking to biotech and pharma, and there's a  
16 wonderful recent report that Kathy will mention from  
17 a group chaired by Maria Freire that we asked to  
18 look at this, and was very strongly supported, is  
19 that there is a lot of receptivity to this from  
20 those who have actually managed to get the chance to  
21 see what the real intentions are.

22 In terms of the administration the  
23 President spoke strongly about support for this in  
24 September. The Senate in their mark for FY12 have  
25 language that is quite supportive of NCATS. The

1 House has not yet come up with a bill that has been  
2 voted on by their committee.

3           There is some considerable concern though  
4 about whether this is sufficient to get this started  
5 in FY12 with all of the other uncertainties that are  
6 out there, but I think it--it's gone down an  
7 interesting path to put it mildly. There was a lot  
8 of misunderstanding at first but I think clarity is  
9 now coming pretty far along.

10           DR. GREEN: Can I make a--To amplify one  
11 point, so, Tom Insel and I are co-chairing the  
12 search committee to identify the director of NCATS  
13 when it comes to existence and we have a very  
14 active--we have a superb committee, a very active  
15 committee and we're making lots of phone calls. And  
16 I will tell you  
17 the response we're getting with basically cold phone  
18 calls to perspective candidates and some of the real  
19 leaders in that general area is a remarkable amount  
20 of positive feedback.

21           In fact, lots of messages we're getting,  
22 "Oh, make sure you tell Francis or make sure you  
23 tell everyone we think this is a great idea. This  
24 is long overdue." You know, despite any of the  
25 negative publicity that came out initially, that's -

1 it's all melted away. Huge amounts of enthusiasm  
2 and I think we'll get a lot of good candidates as a  
3 result.

4 But even the people that said we're not  
5 interested in being candidates were very, very  
6 positive about this development.

7 DR. RUBINSTEIN: That's my reading as  
8 well, so that's very good. (Not at microphone-  
9 inaudible).

10 CHAIRMAN AUGUSTINE: Yes, that is really  
11 good to hear.

12 Sol?

13 DR. SNYDER: I was just curious about what  
14 level of funding there can be and was that bill in  
15 Congress that you're talking about have anything to  
16 do with moving money around or adding money?

17 DR. COLLINS: Again I don't want to  
18 preempt what Kathy is going to say. It's the very  
19 next agenda item but basically the Senate approved  
20 the formation of NCATS by bringing programs from  
21 other parts of NIH together under this new  
22 structure, including the CTSA's and also added \$20  
23 million for the Cures Acceleration Network which is  
24 something that was authorized in the Healthcare  
25 Reform Bill but had not yet been appropriated.

1           Again, the House doesn't have a voted upon  
2           proposal. The chairman is still somewhat skeptical  
3           about NCATS but we're hopeful that can be overcome.

4           CHAIRMAN AUGUSTINE: Francis--Gail,  
5           please?

6           DR. CASSELL: I think along those lines it  
7           would be helpful if maybe members of the committee  
8           could have some of the economic--recent economic  
9           analyses that you have referred to as talking points  
10          perhaps.

11          DR. COLLINS: Have we got those.

12          DR. CASSELL: Alright, I knew you did but  
13          it might  
14          be nice to have them; we can further share them with  
15          others as well.

16          DR. COLLINS: We'll be glad to provide  
17          those for you.

18          CHAIRMAN AUGUSTINE: Yeah, that would be  
19          helpful.

20          DR. COLLINS: There's a particularly good  
21          report from May from United for Medical Research  
22          that went through a fairly rigorous economic  
23          analysis and we can get you that plus some  
24          additional material.

25          DR.                   : (Not at microphone-

1       inaudible).

2                   DR. COLLINS:  There are slides and there  
3       are also reports.  We'll get you both.

4                   CHAIRMAN AUGUSTINE:  Terrific.

5                   DR. CASSELL:  I think, along those lines  
6       too, Norm, if there were some easy way that we could  
7       get the data in terms of comparison with other  
8       countries' investments, especially those that are  
9       going way up  
10      while we're going down, might also be really helpful  
11      and make good arguments.  I don't know if the data  
12      contain that kind of information but--

13                  DR. COLLINS:  We have that and it is  
14      pretty breathtaking when you look and see  
15      particularly what China and India are doing but also  
16      what Europe is doing.

17                  DR. CASSELL:  Oh, yeah.

18                  CHAIRMAN AUGUSTINE:  Francis, I had a  
19      question on the funding rate for grants, the 17  
20      percent projected number.  If you just judged the  
21      applications or proposals on merit alone without a  
22      funding issue what percent would you feel would be  
23      appropriate to fund?

24                  DR. COLLINS:  It probably varies quite a  
25      bit from topic to topic but, in general, I think the

1 experience over all these years has been about a  
2 third are the ones that you really feel, yes, this  
3 is good stuff and we should do this. Maybe even a  
4 little more than a third. And that's being pretty  
5 stringent. That's not just doing everything that  
6 comes in the door that might give value.

7           So we're clearly way below that now with  
8 only about one in six instead of one in three  
9 finding their way into getting support. And you can  
10 imagine the consequences for investigators who then  
11 spend more and more of their time writing,  
12 rewriting, and trying to come up with something else  
13 to submit to try to keep the lab going instead of  
14 doing research.

15           CHAIRMAN AUGUSTINE: That was the point I  
16 was going to make. I'd also suggest like 20 percent  
17 of the people who should be getting awards are  
18 basically wasting their time writing  
19 proposals. Gail?

20           DR. CASSELL: Two thoughts.

21           One is, Francis, how do you make a  
22 compelling argument that the overall quality of the  
23 grants today that are not being funded are as high  
24 as they were when fewer applications were being  
25 submitted by individual investigators? I think this

1 is a concern that people have had all along but do  
2 we have data to support the fact that that's--you  
3 know, that the quality, in fact, is higher?

4 A second thought is that with all the  
5 emphasis that we all have on innovation and  
6 transformative research, I worry that some of the  
7 really basic problems that are rather mundane but  
8 very important from a public health standpoint, like  
9 sepsis is an area that's understudied and yet the  
10 deaths due to sepsis continue to go up in this  
11 country in all age  
12 groups--and I just am choosing that one because it  
13 happens to be an example fresh on my mind but there  
14 must be others--how do you protect those rather  
15 mundane areas of investigation at a time when study  
16 sections are so focused on the need to really be  
17 creative and innovative?

18 DR. COLLINS: Both very important  
19 questions.

20 You know, it is difficult to come up with  
21 a metric to evaluate quality of applications across  
22 say 20 or 30 years. My own sense is that the  
23 quality is going up and not down in terms of the  
24 rigor with which people are approaching problems  
25 and the way in which they're defending their

1 approach.

2 I saw Steve raising his hand as if he  
3 might want to weigh in on this.

4 DR. KATZ: I would say that from the  
5 standpoint of the evaluation of 20 years ago versus  
6 today obviously we rely heavily on peer review. And  
7 nowadays the exceptional and outstanding  
8 applications which are not being funded are clearly  
9 something that would not have happened years ago and  
10 it's not just a matter of the study section thinking  
11 well, this should be funded but there is a certain  
12 pride in saying, yes, this is an exceptional or  
13 outstanding application. And you're not going to  
14 say that unless you really mean it.

15 DR. : Yes, and yet not all of  
16 those are getting funded.

17 DR. COLLINS: In terms of the areas that  
18 you mention that might be neglected, I think this is  
19 a big job for all of the 27 Institute and Center  
20 directors to look across their portfolio to try to  
21 see are there areas which are critical for public  
22 health but perhaps are not getting the attention  
23 they deserve and, if so, then to identify an  
24 opportunity to encourage that field with a specific  
25 RFA. And it would be interesting if Tony was here

1       what he would say about the sepsis question and  
2       whether that's something that he--

3                 DR. CASSELL:  Oh, and I--I really only, I  
4       said sepsis, as I just said, because it was just  
5       fresh on my mind having a recent experience there  
6       but it also comes to mind at a time when the  
7       foundations are having such struggles in terms of  
8       their amounts that they can invest.  Is it time to  
9       maybe rethink or consider different types of  
10      partnerships, especially with the different patient  
11      advocacy groups now that are funding  
12      research, some pretty significantly really.

13                DR. COLLINS:  I think this is a great time  
14      to look at new models for partnership between NIH  
15      and foundations, between NIH and the private sector.

16                In fact, some of us are spending a lot of  
17      time doing just that.  I'll be jumping on the train  
18      as soon as this meeting adjourns to go to New York  
19      for the board meeting of the Foundation for NIH,  
20      which is a mechanism we have to try to encourage  
21      those kinds of consortia with foundations and the  
22      private sector.

23                And we're running a meeting next week, a  
24      major meeting with a pharmaceutical company R&D  
25      chiefs about target validation and exploring ways

1 that we might in a very unprecedented and, I think,  
2 pretty creative way come up with an approach to  
3 target validation based on human data that might  
4 have considerable value.

5 CHAIRMAN AUGUSTINE: Susan?

6 DR. SHURIN: Gail's raising some important  
7 questions that we need to have answers that are out  
8 there sort of right up there with the economic  
9 impact. One of the measures of this quality issue  
10 actually came up during ARRA, and which many of us--  
11 NHLBI was one of them--took about a third of the  
12 funds that we had and just lowered the pay line. So  
13 we funded a whole bunch of grants which had already  
14 been peer reviewed which we would  
15 have funded had we had enough money. And we're  
16 tracking all of those. And I can tell you that as  
17 of right now in terms of productivity, which I am  
18 going to have to measure by publication rate  
19 particularly in high impact journals, they are at  
20 least as good as the ones that we funded getting to  
21 the pay line.

22 CHAIRMAN AUGUSTINE: That's really  
23 important.

24 DR. SHURIN: The more important issue, of  
25 course, in the long term is impact but you have to

1 go further out to really see scientific impact. But  
2 looking at productivity they're clearly just as  
3 good.

4 And I think that the other key issue that  
5 we get very concerned about is that as resources get  
6 tighter the study sections get more and more  
7 conservative and so it gets harder and harder to  
8 fund high risk/high reward research. And going to  
9 a pay line in the general vicinity of about 30  
10 percent enables us usually to fund a significant  
11 amount of research which may or may not pay off but  
12 sometimes when it does pay off it is extremely high  
13 impact.

14 CHAIRMAN AUGUSTINE: I suspect we should  
15 proceed.

16 And the next speaker, of course, is the  
17 NIH Deputy Director for Science, Outreach, and  
18 Policy.

19 We've been talking about NCATS.

20 Dr. Hudson, I think we have pretty well  
21 covered your presentation. But welcome.

22 (Laughter.)

23 **ADVANCING TRANSLATIONAL SCIENCES**

24 DR. HUDSON: Thank you. Thanks.

25 (Slide.)

1           What I would like to do this morning is to  
2 remind you of some of the problems that the TMAP  
3 Working Group sought to address and then update you  
4 on the actions that we have taken at NIH subsequent  
5 to receiving your thoughtful recommendations  
6 to try to move forward and implement those.

7           (Slide.)

8           So the problem that your working group and  
9 your committee were addressing was the very high  
10 attrition rate of compounds going down the  
11 therapeutics development pipeline where that entire  
12 process is error prone, failure prone, slow, and  
13 extraordinarily expensive. So despite the fact that  
14 we at NIH are investing considerable resources and  
15 pharma is investing considerable resources, we have  
16 a very low rate of new medicines entering our  
17 medicine cabinet.

18          (Slide.)

19          In 2010 there were 21 new molecular  
20 entities that were approved by the FDA for use to  
21 treat various disorders and diseases.

22          (Slide.)

23          And a similar landscape is seen with  
24 recombinant biotech medicines and biologics. On the  
25 right you can see the orange bars indicating the

1 rate of approvals of new biologics from the FDA over  
2 time with 2010 being on the right. And again notice  
3 that on the Y axis here we're in the single digits  
4 for approvals. So this is sort of a depressing  
5 landscape that your committee sought to address.

6 (Slide.)

7 So in May of 2010 Francis gave you a  
8 charge to give us some suggestions on how we could  
9 better support translational and therapeutic  
10 sciences and six months later in December of  
11 2010, not yet a year ago, you delivered to us this  
12 report on translational medicine and therapeutics  
13 with its extensive recommendations and thoughtful  
14 analysis for us.

15 (Slide.)

16 Very quickly on the heels of receiving  
17 that report Dr. Collins recommended and Secretary  
18 Sebelius agreed that a new center should be created  
19 at the NIH to support translational sciences and  
20 she, as required by law, notified the chairmen of  
21 the various--and ranking members of the various  
22 committees about her intention to establish this  
23 new center.

24 (Slide.)

25 Also at the same time Francis set up an

1 internal committee to really try to hash out some of  
2 the details about how would this new center work,  
3 how it would interact with other translational  
4 science activities at the NIH, what is the mission  
5 statement, what is the organizational chart, et  
6 cetera. And this is a list of the members of that  
7 committee who worked for several months to develop a  
8 recommendations and a mission statement which has  
9 evolved a little bit since that working group  
10 concluded its work.

11 (Slide.)

12 And the mission statement is "to catalyze  
13 the generation of innovative methods and  
14 technologies that will enhance the development,  
15 testing and implementation of diagnostics and  
16 therapeutics across a wide range of human diseases  
17 and conditions". And the emphasis here is obviously  
18 on the catalyzing the development of new approaches  
19 and new methods and not in moving individual  
20 compounds down that drug development pipeline. And  
21 that really turned on the point that we talked a  
22 little bit around this table a few minutes ago about  
23 the communication challenge early on to distinguish  
24 what we're trying to do here in enabling  
25 therapeutics development from doing therapeutics

1 development.

2 (Slide.)

3 So NCATS will facilitate and not duplicate  
4 the research activities and the other Institutes and  
5 Centers. It will complement and not compete with  
6 the private sector, an important message that I  
7 think we have now effectively conveyed. And  
8 importantly especially in these tight budget times  
9 we need to emphasize that NCATS will reinforce and  
10 not reduce our commitment to basic research. So the  
11 level of support for basic research at the NIH, a  
12 little over 50 percent, has been pretty stable over  
13 time and it will remain unchanged by the creation of  
14 this new Center.

15 (Slide.)

16 So this is a list of NCATS research  
17 programs that will be moving into the new Center  
18 once it is formally established. And all of these  
19 except one were programs that you recommended be  
20 imported into the Center upon its creation.

21 The internal committee of Institute  
22 directors recommended and Francis supported  
23 including the Office of Rare Diseases Research in  
24 NCATS. That office is currently within--reports to  
25 Jim Anderson and DPCPSI and reports to Francis

1 directly in the Office of the Director and we felt  
2 that it would be a good addition to move into NCATS.

3 (Slide.)

4 One thing that I didn't put into this  
5 presentation is a summary of some work that we have  
6 been doing and has just recently been concluded to  
7 look at how the CTSA's, the Clinical and  
8 Translational Science Awards, will be integrated  
9 into this new Center in a smooth and effective way  
10 in order to support the very important work that  
11 those centers do now, numbering 60, across the  
12 country and being able to have them maximally  
13 support the mission of NCATS.

14 (Slide.)

15 And so Steve Katz chaired a group of  
16 Institute directors, largely made up of folks who  
17 have been advising NCRP on the CTSA program since  
18 its inception, Susan Shurin, Jim Anderson was on  
19 that group, Griff Rodgers is on that group, and ably  
20 led by Steve Katz and staffed by Lyric Jorgenson,  
21 and they delivered recommendations to Francis  
22 recently which he adopted on how to make this  
23 integration and  
24 fusion most successful.

25 (Slide.)



1 Awards. And I think particularly in this budget  
2 climate finding opportunities to be able to partner  
3 with the private sector in developing resources in a  
4 pre-competitive way and partnership to leverage each  
5 other's resources and know-how is particularly  
6 important.

7 (Slide.)

8 Francis mentioned this group. So we had  
9 lots of committees and working groups targeting  
10 specific parts of NCATS and giving us really stellar  
11 advice to build on your own advice. This group was  
12 largely comprised of folks who have--are either in  
13 the private sector or who have had private sector  
14 experience and they gave us some high level advice  
15 about how NCATS could operate in general and  
16 specifically how NCATS could interact--interface  
17 with the private sector. And this group also  
18 recently completed their work and delivered a report  
19 to Francis, which is on the website.

20 And I'll just mention that on our Home  
21 page now, NIH's Home page, at the bottom of the page  
22 is a blue button that says "Promoting Translational  
23 Sciences" and if you click on that button everything  
24 having to do with NCATS is sort of gathered together  
25 in one place and easy to find.

1 (Slide.)

2 And this report from the ACD is there as  
3 well.

4 (Slide.)

5 So Francis mentioned his article in which  
6 he laid out his vision for NCATS and that has been  
7 very useful particularly in correcting  
8 misimpressions about what NCATS would do and  
9 sparking ideas about early priorities for this new  
10 Center.

11 Dr. Collins and many of us have spent a  
12 lot of time recently talking to groups of pharma  
13 companies, biotech companies, venture capitalists,  
14 academic health centers, and others to try to  
15 identify what are those bottlenecks in the drug  
16 development pipeline that we could usefully attack  
17 and try to overcome.

18 (Slide.)

19 So this is the proposed organizational  
20 chart for NCATS and I would point out a couple of  
21 things about this organizational chart.

22 First, unlike the organizational charts of  
23 most Institutes and Centers, the primary bifurcation  
24 is not one of extramural and intramural. So we're  
25 going to try to have a more porous interface between

1 intramural work and extramural work in this Center  
2 and that's represented by not having that  
3 fundamental division at the get go and high up in  
4 the organizational chart.

5 The two fundamental research divisions are  
6 the Division of Preclinical Innovation and the  
7 Division of Clinical Innovation. Also you see there  
8 the Office of Rare Diseases Research which we  
9 decided to put into NCATS.

10 I'll point out that the council that--we  
11 are trying to put together a council slate currently  
12 for this new Center and we are hoping that this  
13 council can both fulfill the statutory requirements  
14 for an advisory council for an Institute but also  
15 fulfill the statutory requirements for the CAN board  
16 so that we don't have multiple different groups  
17 opining on the same subject matter but rather an  
18 integrated whole. That will be sort of a large  
19 council which is atypical but we're looking forward  
20 to that novel mechanism.

21 (Slide.)

22 This is the requirements for the CAN board  
23 and, as I mentioned earlier, it's quite distinct  
24 from the standard council for an Institute.

25 (Slide.)

1                   So we are, and Eric mentioned this, we are  
2                   currently soliciting applications for NCATS  
3                   Director. This person should have expertise that  
4                   transcends a single discipline, preferably have  
5                   experience both in academia and in the private  
6                   sector. And a large number of the folks on our list  
7                   do have both those backgrounds--backgrounds in both  
8                   of those sectors. And this person really needs to  
9                   be willing to engage in disruptive innovation and  
10                  has an exciting challenge to be the first leader of  
11                  this new Center.

12                  (Slide.)

13                  The search committee is listed here. The  
14                  members of the search committee are listed here and  
15                  again Eric and Tom Insel are the search committee  
16                  co-chairs. If you have ideas for folks who they  
17                  should reach out to and touch, please send a note to  
18                  Eric or Tom Insel and we'll make sure to try to lure  
19                  them in.

20                  (Slide.)

21                  So there was some mention of the Senate  
22                  appropriations bill and where it stands in terms of  
23                  NCATS.

24                  So the Senate bill does provide \$582.4  
25                  million for NCATS, that is the sum of all of the

1 programs that are being imported plus an additional  
2 \$20 million for the Cures Acceleration Network,  
3 which we would be able to use, as specified in the  
4 Affordable Care Act, 20 percent of that or \$4  
5 million for the flexible research authorities.

6 The report language that accompanies the  
7 bill says that NCATS is a far-reaching example of  
8 how NIH can refocus its mission in a difficult  
9 fiscal time and so we have strong support from the  
10 Senate in their bill that has been marked up and  
11 approved by both the subcommittee and the full  
12 appropriations committee.

13 (Slide.)

14 As Francis mentioned, the House has not  
15 yet marked up a bill through its subcommittee and so  
16 we are waiting for what the House action will be and  
17 what the agreement will be between the House and the  
18 Senate at the end of the day. But we don't want to  
19 waste time. While we're waiting we're starting to  
20 launch design programs that can be pilot programs  
21 that can be taken on by NCATS early on in its life.

22 One of those is a project that Jim  
23 Anderson is leading in DPCPSI, which is a  
24 partnership between us and DARPA, which will have  
25 all sorts of interesting aspects to it. One of

1       which is that we will be able to sort of learn how  
2       they do project management at DARPA by being able to  
3       work closely with them.

4                The other is the science here which is the  
5       goal of developing a chip that will mimic the  
6       physiological processes of various organ systems  
7       interacting with one another. And DARPA is focused  
8       on the bioengineering aspects of this project, our  
9       RFA is not yet on the streets. It's in development  
10      but is expected out sometime this fall. Will be  
11      focusing, as you might expect, more on the  
12      biological side of this and what kind of readouts  
13      would you want to be able to get from such a tissue  
14      on a chip mechanism.

15               (Slide.)

16               The second pilot project--we have several  
17      ongoing but the second one that I'll mention is an  
18      effort to identify what role NIH could play in  
19      being sort of a matchmaker for rescuing and  
20      repurposing efforts. And so we would like to be  
21      able to match compounds that are abandoned in  
22      pharma's medicine cabinets and be able to match  
23      those with our investigators who have good ideas  
24      about new indications for those compounds.

25               We're in negotiations now with a company

1 to be the initial pilot and hope to expand that to  
2 many, many companies who would be willing to provide  
3 their compounds in exchange for us being able to  
4 basically crowd source their compound for really  
5 great ideas of rescue and repurposing.

6 (Slide.)

7 So--So since you delivered to us your  
8 thoughtful report, we have been very busy and we  
9 have gone through lots of stages in the process of  
10 standing up this new Center.

11 We still have a couple of check boxes that  
12 await Congressional approval before we can stand the  
13 Center up but we're eagerly waiting that day when we  
14 can cut the ribbon and we hope you all will join us  
15 for that.

16 And, as Francis mentioned, the President  
17 has indicated that he is strongly supportive of this  
18 new Center and, in fact, at this event I invited him  
19 to come to NIH for the groundbreaking of NCATS, the  
20 ribbon cutting, and he indicated that he would like  
21 to do so. So we are looking forward to that.

22 And I would be happy to answer any  
23 questions or listen to your discussion.

24 **DISCUSSION**

25 CHAIRMAN AUGUSTINE: Thank you.

1 Gail?

2 DR. CASSELL: Kathy, Francis and others,  
3 I am really excited. I think that it's obvious you  
4 have made a lot of progress. The two projects that  
5 you have just described, especially the one with  
6 DARPA, I think is really very exciting and  
7 important. That's an understatement.

8 I wonder about the regulatory science FDA-  
9 NIH project. As you well all know all too well, the  
10 amount of monies there are really small, especially  
11 monies that FDA can contribute to such a joint  
12 effort. And I wondered if you could comment on this  
13 particular program and how you see it growing and  
14 how you see the interface between FDA and NIH. It's  
15 extremely important and I hope that FDA will be a  
16 strong arm and not tagging along just because of  
17 lack of resources.

18 DR. HUDSON: So thank you for the question  
19 and comment.

20 We have been working really closely with  
21 Peggy Hamberg and her colleagues since Francis  
22 arrived certainly and we were excited to launch  
23 the regulatory science initiative with them. We are  
24 moving that into NCATS and actually are sort of  
25 putting the toxicity tissue on a chip program under

1 that general rubric. And that actually I didn't  
2 mention that and I should have, I apologize. FDA is  
3 involved in that and they have been really  
4 instrumental in sort of advising that program.  
5 DARPA and NIH are putting the dollars in but the  
6 brain power is coming from all three agencies. So  
7 that's an exciting opportunity.

8 And then, Amy, do you want to say anything  
9 about the regulatory science work that we have  
10 underway in the grants?

11 DR. PATTERSON: Well I think Gail is  
12 correct that what's underway right now is a  
13 beginning but I do think a very notable and  
14 unprecedented feature of that collaboration is that  
15 FDA is integral to the peer review process. So they  
16 have actually been at the table helping to evaluate  
17 proposals and make decisions. So it's--they are not  
18 just tagging along. They are--they're contributing--  
19 they are contributing resources but, as you said,  
20 they may be more limited but they are contributing  
21 their insights and expertise.

22 DR. CASSELL: So it would be great to  
23 include on your slide just so that people realize  
24 they are involved, especially in the DARPA project.  
25 They have wanted for a long time to be-- have a

1 study to look at why drugs fail and this will  
2 certainly help do that.

3 I am aware that in response to the FDA  
4 Science Board Report on science and technology at  
5 FDA, Jessie Goodman told me just recently they  
6 have been able to squirrel away a little bit of  
7 monies for academic centers of excellence in  
8 regulatory science. So it would be nice to see  
9 again more collaboration if at all possible in this  
10 area.

11 DR. COLLINS: Your point is very well  
12 taken. I couldn't help but notice that something  
13 happened in converting the slides to this current  
14 format where the FDA logo on the slide faded away.

15 (Laughter.)

16 I almost think there was something  
17 suspicious going on there. It was right there but--

18 (Laughter.)

19 DR. : You're in trouble now.

20 DR. COLLINS: Be sure to bring it back.

21 DR. RUBINSTEIN: I know it may be  
22 difficult because of the multiplicity and small size  
23 of biotech companies but having some input from them  
24 as well as venture capitalists and pharmaceutical  
25 companies because there's a lot of innovation going

1 on in very small companies. So I don't know exactly  
2 how to do it but I would just like to bring that up  
3 because in my view a lot of the new innovation in  
4 drug discovery is happening there rather than in big  
5 pharma at the moment.

6 DR. HUDSON: Indeed. And, in fact, we  
7 were just out in San Francisco two weeks ago at the  
8 personalized medicine Burrill conference and spent  
9 time a lot of time with individual companies and  
10 them as a group collectively seeking their input and  
11 ideas, yeah.

12 DR. COLLINS: We also had a wonderful  
13 meeting in San Francisco in July that was organized  
14 by Sue Desmond-Hellmann and Brooke Byers where they  
15 brought in a bunch of entrepreneurs partly from  
16 therapeutics but also from diagnostic and devices.  
17 And we had a very interesting day where they were  
18 quite revved about what NCATS might be able to  
19 contribute from the different perspective of biotech  
20 entrepreneurs.

21 CHAIRMAN AUGUSTINE: Gail?

22 DR. CASSELL: So I wonder--you didn't  
23 mention the SBIR/STTR program and how it might could  
24 interface getting to Arthur's point. And it would  
25 be really exciting if there were some way in maybe--

1 I don't know if you're reevaluating your SBIR  
2 program and if that's why it's on the agenda but I  
3 think--

4 (Laughter.)

5 DR. COLLINS: What a great foreshadowing.

6 DR. CASSELL: --it just seems that this  
7 could really help do a lot of things. I was on the  
8 phone until 1:00 this morning with a young faculty  
9 member at Stanford. I agree with what you're  
10 saying, Arthur, and they're going great guns on some  
11 really exciting things but can only get it so far so  
12 this would be great.

13 DR. HUDSON: Right. We're excited about  
14 having a strong SBIR program in NCATS and we'll be  
15 looking forward to deliberations of this committee  
16 in terms of what kinds of enhancements might we  
17 contemplate for this program in order to make it  
18 even more fruitful than it already is.

19 CHAIRMAN AUGUSTINE: Well, thank you for  
20 that report.

21 We're close to on schedule so why don't we  
22 go ahead.

23 Yes, Bill, please?

24 DR. BRODY: I wanted to make a comment  
25 after Francis' presentation and I'm not exactly sure

1       how to say what I want to say.

2                   And I'm not speaking for the extramural  
3       establishment but I'm observing the extramural  
4       establishment which I think is fundamentally in  
5       denial about the macroeconomics of what's going on.  
6       And I don't--everybody has expanded or is expanding,  
7       continuing to think that build it and they will  
8       come, whether you're at small research institutes or  
9       at large universities.

10                   The macroeconomics are simply not going to  
11       support the research establishment the way it is.  
12       The level of stress--this is my third downturn in  
13       NIH funding but this one feels fundamentally  
14       different from the other ones.

15                   And the impact of the stimulus funding--I  
16       was interested in your comments, Susan. Although  
17       our ability to predict success is very limited and,  
18       in fact, I mention this morning an article in  
19       Sunday's *New York Times Magazine* section by one of  
20       my heroes, Daniel Kahneman, who is the only non-  
21       economist to win the Nobel Prize in economics, who  
22       talked about the inability to predict success in a  
23       variety of fields.

24                   Nonetheless I think what it did is it  
25       postponed a period of pain which then comes back

1 afterwards where the same--many of the same people,  
2 not all, are again faced with the challenge of  
3 getting grants.

4 I don't know what the solution is but it  
5 can't be more and growing--and economic arguments  
6 notwithstanding, we obviously need to make those and  
7 to push Congress but the budget is fundamentally  
8 going to change.

9 One of my faculty said I'm Dr. Revision.  
10 I'm spending all my time apropos of your comments.  
11 Is it worthwhile for our top scientists to be  
12 spending--we have post docs who, you know, we impose  
13 rules but somehow people get around them and we've  
14 got post docs eight to ten years in the system.

15 I don't have any solutions but I do think  
16 it's worthy of significant discussion about are we  
17 going to make any fundamental changes to the  
18 research establishment.

19 On the one hand it's like managing  
20 a snake farm. You want to move ahead but you want  
21 to move slowly. So I fear that NIH or Congress  
22 might make changes very drastically. We've all made  
23 long-term investments and if you make changes to  
24 facilities, administration, recovery, for example,  
25 you need to do them slowly otherwise you'll really

1 impact.

2 On the other hand we need--I think the  
3 system has to washout some people. I was in New  
4 York yesterday in one of these limo cars or whatever  
5 and I asked the driver how long he had been driving  
6 and he said, "About four months." I said, "What did  
7 you do before that?" He says, "Pharmaceutical  
8 chemist for 31 years and I got laid off with the  
9 merger of pharmaceutical companies. The  
10 pharmaceutical companies are down." I mean it's  
11 happening everywhere. And my fear is that we die a  
12 thousand deaths as opposed to sort of taking some  
13 big hits. I mean there are some things we can do  
14 more abruptly.

15 So I don't have a solution. I know you're  
16 doing your darnedest to figure how to negotiate  
17 through this and you have got 10,000 constituents,  
18 including my vocal faculty, who think that theirs is  
19 the only voice that needs to be heard. But, anyway.

20 DR. COLLINS: I'm glad to have you raise  
21 this, Bill. And I guess I'd just like to ask your  
22 advice in terms of how to be sure that the  
23 denial doesn't get in the way of finding solutions.

24 I--We have sort of tried to organize this  
25 fall opportunities to meet with the leadership that

1 you would think would most need to get their minds  
2 around where this is going.

3 And I had this very interesting meeting  
4 with AAU presidents and chancellors. And my sense  
5 was it didn't take me telling them that things were  
6 going to go into potentially a bad decade. Maybe  
7 the fact that Jack Lew talked to them right before I  
8 did had something to do with their smelling the  
9 coffee.

10 And Larry is speaking to the APLU and I'm  
11 speaking to AAMC and I have already spoken to IOM.  
12 We are sort of having this collection of  
13 opportunities to lay out the seriousness of the  
14 situation to make it clear that NIH doesn't want to  
15 do things that will be causing harm that we couldn't  
16 have sort of anticipated and prepared for.

17 But at the same time that the simple  
18 Darwinian approach might not be sufficient in terms  
19 of just allowing success rates to fall, fall, fall  
20 because we know that our particular brand of natural  
21 selection is not very good when it drops below the  
22 25 percent or so success rate.

23 CHAIRMAN AUGUSTINE: Sol?

24 DR. SNYDER: Yes. In trying to figure out  
25 what's going on and what to do about it, one thing

1 that is confusing is-- because Elias Zerhouni used  
2 to say one of the big problems of not funding grants  
3 is because the stimulus plan brought all these  
4 enormous numbers of applications out of the  
5 woodwork. I don't if it was the same people making  
6 lots of applications or a lot of new people coming  
7 in. And that, therefore, when we say the funding  
8 rate is very low it's really sort of artificial in  
9 that there's a lot of funny stuff out there, which  
10 perhaps talking about some analysis the NIH could do  
11 to see what's going on. I don't know--I don't have  
12 any answers but there's something about that.

13 DR. COLLINS: Yes, we certainly have a lot  
14 of data about that and when Sally Rockey is here  
15 this afternoon she can no doubt reel off some of  
16 those statistics.

17 We were worried that there might be a  
18 big bolus of applications coming in in '11 and '12  
19 for people who were funded through the recovery  
20 dollars and then with only two years of support  
21 wanted to come back and keep going. It was not as  
22 scary as anticipated. There's--the total number of  
23 incoming grants, while it has gone up a bit, has not  
24 been drastically upward.

25 So there are many drivers of why the

1 success rate is falling. The main problem, of  
2 course, is the purchasing power that we have to deal  
3 with is 20 percent down from where it was in 2003  
4 and the average cost of a grant has been trickling  
5 upward because it's more expensive to do research,  
6 and that's despite NIH's efforts to do downward  
7 negotiation with almost everything we get, assuming  
8 that whatever is being asked for they could  
9 probably do it with a little bit less.

10 So there are several factors. Yes, there  
11 has been an increase in the number of grants but  
12 that actually kind of got triggered by the doubling  
13 way back in '98 to 2003 as the number of faculty who  
14 are ready to do great research increased. The cost  
15 per grant has gone up and our buying power has gone  
16 down. It's the sort of perfect storm. No single  
17 thing explains all of it but it puts us in a tough  
18 bind.

19 Should we push even harder to insist that  
20 the average cost of a grant can't grow even though  
21 the BRDPI is? The only way you can do that is by  
22 more systematic downward negotiations which are  
23 already pushing people kind of to the limit of what  
24 they can actually do. That's on the list of  
25 possible levers we might pull but it's not an easy

1 one.

2           There are even suggestions that the  
3 nuclear option of thinking about indirect costs  
4 ought to be on the table.

5           Can NIH afford to pay the current  
6 allocated rate when things are so tight? But let  
7 nobody imagine that that wouldn't have consequences  
8 for science. Indirect costs actually support  
9 science.

10           And many fear has been expressed that if  
11 you start tinkering with that you put universities,  
12 many of whom are already in deep trouble, especially  
13 those that depended on state appropriations that are  
14 being cut back pretty drastically and then what  
15 lever do they have left to pull? Well, it's to  
16 increase tuition. That doesn't feel right at a time  
17 where we want to see more people having an  
18 opportunity for education.

19           So there's no magic here. There is a  
20 need, I think, therefore, particularly for all of us  
21 to own this. What I don't want is to have this sort  
22 of come forward as, okay, NIH has got the problem  
23 and NIH is going to make some suggestions and  
24 either you'll like them or not. That's not the way  
25 we can do this.

1           We have to really, as a community, get  
2 together and look at what those options are, decide  
3 which of those make sense and then own them  
4 collectively even though they will be unpleasant and  
5 there will be consequences that many people will  
6 find really quite difficult. It's where we are.

7           CHAIRMAN AUGUSTINE: Arthur?

8           DR. RUBINSTEIN: I just want to support  
9 what both said. I think there is an unreal feeling  
10 around that it's not going to  
11 affect our institution but it will affect everybody  
12 else and we'll get an increased amount. And  
13 everybody says we will but that's the top 20, 30, 40  
14 places, and it doesn't add up.

15           So, you know, when you look at what's  
16 happened in the pharmaceutical industry, I don't  
17 think it's that farfetched that as these numbers go  
18 down dramatically there's going to be millions and  
19 millions of dollars left in research institutes and  
20 universities.

21           And, you know, to push for a plan now  
22 rather than a catastrophe of laying off people which  
23 is likely, I think, is really important.

24           And just so you know, when I preach about  
25 that at our place they just laugh at me so you

1 should know that. Although I'm coming here and  
2 preaching the same so you can laugh at me, too.

3 (Laughter.)

4 CHAIRMAN AUGUSTINE: Gail?

5 DR. CASSELL: I think along with Elias's  
6 slides that he used to talk about he also had slides  
7 showing the building construction especially within  
8 the medical schools.

9 I haven't seen any of that recently but  
10 wonder again if in the economic analysis it wouldn't  
11 be good to have that to show what the consequences  
12 are.

13 Certainly to share it at the state level  
14 and I'm sure you will do that in spades but at the  
15 same time I think there has to be some consideration  
16 for the indirect cost and improved efficiency at the  
17 university levels in terms of management and the use  
18 of those indirect costs.

19 As you know, the--since OA21 was kind of  
20 renegotiated I'm not aware that there has been a big  
21 effort to really relook at how the monies are being  
22 allocated and utilized. I'm not saying that that's  
23 something that should happen but I think maybe one  
24 should at least begin to ask the question anyway.

25 DR. COLLINS: Well, certainly this is also

1 a moment where perhaps we can make an even more  
2 effective case about the aspects of administrative  
3 costs that are imposed on institutions that don't  
4 make a lot of sense and that have just sort of crept  
5 in to the way that business is done. Effort  
6 reporting comes quickly to mind as an area where a  
7 great deal of time and money get spent on an  
8 auditing process that nobody is really quite sure  
9 has any real value and yet it has become the norm  
10 and the IG looks at it. So maybe there's an  
11 opportunity to do something about that.

12 Human subjects, as you have probably  
13 seen there's an advance notice of proposed  
14 rulemaking to essentially come up with a very  
15 different way of implementing the Common Rule that  
16 we believe could provide an opportunity for  
17 considerable less burden on administrative  
18 functions related to low risk research, which  
19 currently still goes through an awful lot of  
20 oversight steps and also would push very strongly  
21 for single IRBs in multisite trials instead of  
22 the current system which is terribly duplicative  
23 where many IRBs are looking at the same consent  
24 form, tinkering with the language, and wasting  
25 everybody's time.

1           So, yes, we are, I think, quite with you  
2 here that in addition to thinking about ways to  
3 reorganize the funding formulas, we also have  
4 to figure out ways to unload tasks that aren't  
5 really at the present time serving the purpose of  
6 promoting research and protecting the public.

7           CHAIRMAN AUGUSTINE: I feel very much like  
8 I have heard this discussion before. In the field I  
9 come from we lost 700,000 people out of a million-  
10 and-a-half in five years. And the initial  
11 discussions--they had a dinner. I have always  
12 referred to it as the last supper--

13                   (Laughter.)

14           --where it became apparent that when the  
15 people left the dinner who could do something about  
16 this, the feeling was very much, boy, you've got a  
17 problem but not 'I've got problem.

18           And really your point about moving the  
19 snake farm--in my view of snakes I empathize with  
20 your point but I think the biggest lesson I learned  
21 out of that episode was don't cut the cat's tail off  
22 an inch at a time. If you've got to do some tough  
23 things get on with it, get it over with. I found  
24 that people can stand change. They just can't stand  
25 uncertainty. And not to practice psychology but

1 that was really the lesson I learned out of that.

2 Also, this has great implications for the  
3 earlier topic of how do we encourage people to go  
4 into this field. And we went through the same thing  
5 but if you don't encourage any young people to come  
6 in all of a sudden you have a very aging group of  
7 talent. All of which is to say it's not easy but  
8 having solved that problem I think we need to move  
9 ahead.

10 (Laughter.)

11 All right.

12 The next speaker, of course, is the  
13 principle Deputy Director of NIH, a member of the  
14 SMRB alumni group--

15 (Laughter.)

16 --and you're going to give us an update on  
17 the a--what's properly known as SUAA committee.

18 (Laughter.)

19 **OPTIMIZING SUBSTANCE USE, ABUSE, AND ADDICTION**

20 **RESEARCH AT NIH**

21 DR. TABAK: Right. Although I'm not going  
22 to use that term this morning.

23 (Slide.)

24 So thanks for the opportunity to give you  
25 a very succinct update on where we are with the

1 throes of having a single institute devoted to  
2 substance use, abuse, and addiction research.

3 (Slide.)

4 And so, as you know, this board made  
5 this recommendation to Dr. Collins, which was  
6 accepted, and I just would like to give you the  
7 update as to where things stand.

8 So beginning of the calendar year 2011,  
9 there were a number of internal discussions with NIH  
10 scientific staff amongst those ICs that could  
11 be potentially affected by the proposed changes, and  
12 then a task force developed some guiding  
13 principles informed by those initial discussions.  
14 And where we are now is we are in the midst of  
15 completing a very detailed portfolio analysis  
16 amongst all the potentially relevant Institutes and  
17 Centers looking at grants, cooperative  
18 agreements, contracts and as well as intramural  
19 research because, as you well know, there is a  
20 significant amount of research in this area in our  
21 intramural programs. And it is through this process  
22 that we hope to develop a final portfolio  
23 integration plan.

24 Simultaneously with this, hearing from many,  
25 many stakeholders, we decided to launch a scientific

1 strategic plan. And so just to be absolutely clear,  
2 this is not a reprise of should we have a new  
3 institute or not. That decision has been made.  
4 Rather this truly is designed to be a scientific  
5 strategic plan where the gaps and new opportunities  
6 that would emerge as a result of the creation of  
7 this new institute will be explored by both experts  
8 here at NIH as well as relevant stakeholders from  
9 around the country. And this group has begun to  
10 meet internally and is developing the plans for the  
11 stakeholder outreach and this should be available  
12 shortly where we begin to engage individuals either  
13 in focus groups or interactive town meetings and  
14 other vehicles and modalities to ensure that we get  
15 maximum input about the scientific opportunities.

16 Now, we're fast forwarding a year  
17 from now to the fall of 2012 where we will release  
18 both the portfolio integration plan and have a  
19 public comment period. And concomitant with that  
20 will be the release of the scientific strategic  
21 plan also soliciting public input. All this  
22 designed to enable us to provide final  
23 recommendations to Dr. Collins by the end of the  
24 calendar year 2012, which then in turn allows us to  
25 incorporate our plans to be included in the

1 President's FY2014 budget.

2           Now, whilst this is being developed we  
3 will begin implementing the portions of the  
4 scientific strategic plan that are not  
5 dependent on the formal reorganization. And in that  
6 regard I will tell you that almost by self-assembly  
7 the intramural programs of NIAAA and NIDA have  
8 really made outstanding progress towards this goal  
9 and, again, with no coercion but rather just simply  
10 understanding what the scientific opportunities  
11 would be by working more closely together. And so  
12 both the scientific directors of these two  
13 intramural programs together with Michael Gottesman,  
14 who is the deputy director for intramural programs  
15 at NIH, have been working beautifully and so they  
16 actually may be close to finished by the time we get  
17 to the more formal stages.

18           And then the expectation is that  
19 with the beginning of fiscal year 2014 we will have  
20 a new institute. This is a place holder. Please do  
21 not send me hate mail about this. You can send me  
22 hate mail about anything else you want but this  
23 is the proposed name: National Institute of  
24 Substance Use and Addiction Disorders. But this  
25 name is strictly a place holder and we will of

1 course entertain other suggestions from the  
2 community, from stakeholders and so forth.

3 So just to summarize bottom line, we have  
4 shifted the implementation by one year. In part, a  
5 reflection of the complexity of the portfolios  
6 across the Agency and, in part, a desire to ensure  
7 that sufficient public comment is made available  
8 from stakeholders particularly with regard to the  
9 science, the scientific opportunities. So it will  
10 not be a redo of the strategic plans that are extant  
11 but rather it's a look at the interfaces, the new  
12 opportunities and ways to go forward in creative  
13 ways.

14 (Slide.)

15 Now, I am not a visual person which  
16 may seem odd to you for a dentist but, for those of  
17 you who are visual, this Gantt chart describes  
18 everything that I just said in words and you have it  
19 in your handout.

20 So with that I'll stop and entertain any  
21 questions that you may have.

22 **DISCUSSION**

23 CHAIRMAN AUGUSTINE: Thanks, Larry.

24 Questions? Please?

25 DR. POWELL: Well, Larry, I'm just very

1 pleased especially that you're taking the time to do  
2 this right and especially with all of the challenges  
3 from the stakeholders that this group heard as this  
4 deliberation was taking.

5           And I think the idea of developing not  
6 just the integration plan but the scientific  
7 strategic  
8 plan is a really good one. And so if it's worth  
9 doing, it's worth doing well and I think you've  
10 embarked on that.

11           So congratulations.

12           DR. TABAK: Well, thank you.

13           And I should say that it's only possible  
14 because of the very strong leadership that both Ken  
15 Warren and Nora Volkow and their many colleagues  
16 have been providing, as well as the other  
17 potentially affected Institutes.

18           I think internally this has been very  
19 much a community effort and I think that will be  
20 reflected by a very strong outreach gathering the  
21 relevant stakeholders from around the country.

22           CHAIRMAN AUGUSTINE: Deborah, thank you.

23           Other comments?

24           All right, hearing none, Larry, thank you.

25           We will proceed ahead.



1           But one thing that I want to put out  
2           for you all to consider is this: The original  
3           report from the SUAA never really defined what  
4           the scope of this problem is. We don't really know  
5           what it is that we're talking about and that's not a  
6           failure of the group. That's the nature of the  
7           field. The nature of the field is that we're not  
8           sure how far and wide this phenomenon of excessive  
9           behavior that doesn't--is not well controlled by  
10          people. We know that in the report we have things  
11          like tobacco, alcohol, drugs, some discussion of  
12          obesity, gambling, all these kinds of things that  
13          come together.

14                 Based upon the discussions that we've  
15          already had in the field I think it would be of  
16          great moment, of great importance, the opportunity  
17          is there to actually not just get strategic feedback  
18          in these kind of--I don't mean to denigrate the  
19          process, but small bore kinds of ways, local groups,  
20          discussion--focus groups, that kind of thing. I  
21          think in this field the kick off to a new institute  
22          would be best served by a consensus conference  
23          putting together people from all these different  
24          fields to discuss what it is that, in fact, is the  
25          core of what we're talking about and set this new

1 enterprise off on a good course because otherwise  
2 this discussion, this uncertainty is going to  
3 actually become a problem for the institute, the  
4 new institute, itself as its trying to decide on  
5 allocations of resources, allocations of budgets,  
6 what are the scientific new opportunities and such.

7           You know, it comes down to very simple  
8 thing. In some ways as much as we do know, in some  
9 ways we don't really know even what we're talking  
10 about. And I hope that this is something that  
11 this group will take into consideration.

12           Thank you.

13           CHAIRMAN AUGUSTINE: Thank you, Dr.  
14 Goldman. We appreciate your comments.

15           Next we'll hear from Dr. Johnson,  
16 University of Virginia School of Medicine.

17           DR. JOHNSON: Good morning and thank you  
18 for allowing me to speak. And I hope everybody is  
19 having a great morning.

20           I listened with great interest to the  
21 previous speakers and I want to echo some of the  
22 things that they have said but most importantly I  
23 want to probably focus on some of the details which  
24 a new institute should incorporate and some of the  
25 thoughts and ideas to make sure that the new

1 institute works as well as we would expect it to.

2           As we go through this process I was very  
3 happy to see that there was considerable  
4 deliberation on what the scientific portfolio would  
5 be but I would encourage that there also should be a  
6 similar deliberation in terms of a focused cost  
7 analysis in terms of how this will be proposed in  
8 budgetary terms and to be able to explicitly talk to  
9 the researchers and other stakeholders on how that  
10 would affect their grants or their budgets in terms  
11 of the future.

12           The second thing that I'd like to talk a  
13 little bit about is the portfolio structure itself.

14       I think that, as Mark said, one of the problems  
15 with substance abuse and addictive disorders is that  
16 it can become all encompassing. And you can imagine  
17 a time in which almost every behavior possible could  
18 be described as addictive. And, therefore, there  
19 needs to be some focused thought as to what the  
20 structure of the different disease entities and  
21 addictive behaviors might well be. And a consensus  
22 approach would be the best way to look at that.  
23 Now, that might be to understand the epidemiological  
24 impact of some of these diseases and disorders and  
25 how those epidemiological translate into the budget

1 of the new institute.

2           So, for example, NIDA currently does have  
3 a--basically a structure for recognizing HIV  
4 research which is very important in terms of the  
5 consequence of drug abuse but you could also do the  
6 same thing looking at what the relative impacts of  
7 alcohol and tobacco and other drugs are to make sure  
8 that the emphasis of the institute does fit the  
9 national need.

10           There needs to be some consideration, I  
11 hope, given to the idea of trying to have a  
12 consensus amongst directors of various institutes to  
13 be able to contribute to this new enterprise and to  
14 be able to allow some merging of their portfolios to  
15 be able to get this to occur. And, in particular,  
16 the nicotine and tobacco portfolio is very important  
17 because it's so much as--a component of the  
18 comorbidity of alcohol and other disorders.

19           I think, finally, I think I would like to  
20 just talk a little bit about building consensus with  
21 not only the stakeholders who are researchers and  
22 scientists but also with industry. One of the  
23 concerns that obviously occurs with the merging or  
24 with the development of a new institute is how would  
25 we develop new drugs, new treatments and how that

1 would be applied in the real world. And it would  
2 make sense to also involve at some point  
3 deliberations with biotech or industry as  
4 appropriate to understand how the new institute can  
5 take opportunities that present itself and seek ways  
6 of collaborating.

7 I think, finally, there will need to be  
8 some consideration of how this Organization will be  
9 driven and led. And I think that Mark said it best  
10 that some kind of consensus conference to decide  
11 what type of people or person or groups of people  
12 should direct this organization at the start and how  
13 that should come to pass will be very important in  
14 it being able to gain credibility and consensus  
15 amongst everyone.

16 Thank you so very much.

17 CHAIRMAN AUGUSTINE: Dr. Johnson, thank  
18 you very much for sharing those views.

19 And our next speaker is Dr. Martin Woodle  
20 of the Institute for Translational Biomedical  
21 Science.

22 DR. WOODLE: Thank you very much for the  
23 opportunity to speak.

24 Just a very quick introduction to myself.

25 I--After a post-doctoral studies I went to biotech

1 industry in California where I was part of the  
2 development of a pegylated liposome that is now a  
3 drug that is marketed by Johnson & Johnson as Doxil.

4 And following that I have had experience in other  
5 small biotech companies as well as large pharma and  
6 spinoffs from Novartis to venture capital financed  
7 biotech. And I've started the Institute for  
8 Translational Biomedical Science recently as a means  
9 to try to help address some of this problem that you  
10 have clearly identified and recognize.

11 I'd like to thank Dr. Rubinstein for his  
12 comment about the key role of small biotech and  
13 their innovation and I would like to emphasize that.  
14 I think that my feedback for you to consider is  
15 finding ways to augment and utilize that small  
16 biotech resource which I sense is somewhat  
17 overlooked and not fully drawn into your attempts to  
18 address this problem of translational research.

19 I'd like to point out that translational  
20 activities are by their nature rather mundane and  
21 boring and there's very little that is considered  
22 innovative in that.

23 Even when bringing things together that  
24 have never been together, and thus are new, they are  
25 very often not considered innovative. And so that's

1 a real dilemma and challenge as we face this field  
2 of the NIH attitude and expectation of innovation as  
3 it applies to translational activities is really a  
4 challenge and a problem to be addressed.

5 So I just wanted to thank you for your  
6 efforts to address this problem and finding the  
7 ways. I think the institute is very attractive and  
8 has lots of aspects and I would like to encourage  
9 you to look for ways to utilize that early biotech  
10 resource which is not funded by venture capital  
11 because the timelines are too long and the risk  
12 levels are way too high.

13 So thank you for your time.

14 CHAIRMAN AUGUSTINE: Thank you for raising  
15 that point.

16 And as I understand it, there are no  
17 further public comments at this point and so we're  
18 just a couple of minutes ahead here.

19 I think what we should do is go ahead and  
20 take our break now if that's okay with everybody.  
21 And so let's see--we should meet back here about 10  
22 after if everybody will do that. So we're now on  
23 break.

24 Oh, I'm sorry. I forgot an important  
25 point. Let's make that--our group here is supposed

1 to get a photograph taken and we'll be taking it  
2 over in that corner. We'll do it right now so that  
3 this is--if everybody--it's like herding cats.  
4 Steve, nothing personal here but if everybody would  
5 get over where Steve is right away.

6 So let's make it 11:20 the break will end  
7 then so we have time to get a picture.

8 (Whereupon, at 10:54 a.m., a break was  
9 taken.)

10 CHAIRMAN AUGUSTINE: Okay. If everybody  
11 is back; we will continue. Steve is going to give us  
12 an update on the recommendations on the Clinical  
13 Center.

14 Steve?

15 **NIH CLINICAL CENTER: ORGANIZATIONAL**  
16 **AND BUDGETARY CHALLENGES**

17 DR. KATZ: So, thank you. It's my  
18 pleasure to provide this update since the SMRB has  
19 made many recommendations with regard to the  
20 operations and governance of the Clinical Center.

21 (Slide.)

22 I would refer everyone who is not at the  
23 table--everyone at the table has this little  
24 pamphlet, the Scientific Management Review Board  
25 Report on the NIH Clinical Center. And I can tell

1 you that we spent, as a subcommittee, with Arthur's  
2 leadership, we spent a lot of time in making  
3 recommendations with regard to the Clinical Center  
4 governance. And the SMRB really established this  
5 SMRB to simplify the Clinical Center governance.

6 (Slide.)

7 And the responsibilities of those, as you  
8 can see on this slide, they complement those of the  
9 Advisory Board for Clinical Research, which is a  
10 board that advises John Gallin directly on the  
11 operations of the Clinical Center but this provide--  
12 this advisory--this Clinical Center Governing Board  
13 provides strategic and operational policy direction  
14 and oversight for the Clinical Center, also  
15 strategic and operational oversight over the changes  
16 to the mission of the Clinical Center, should there  
17 be any, and to implement those recommendations of  
18 the SMRB.

19 It also provides recommendations on the  
20 optimal size and scope of the Clinical Center and  
21 how best to maximize the quality of research  
22 conducted in the Clinical Center. It provides policy  
23 and operational recommendations on crosscutting  
24 scientific and administrative issues that affect  
25 both the NIH's Institutes and Centers and the

1 Clinical Center, and also provides recommendations  
2 on the Clinical Center's annual budget request after  
3 considering the recommendations of the ABCR and the  
4 overall NIH budgetary environment.

5 So this was – this was a group that was  
6 set in motion to really provide recommendations to  
7 the director of NIH taking into account not only the  
8 recommendations of the Advisory Board to the--for  
9 Clinical Research but also the NIH budgetary  
10 environment.

11 (Slide.)

12 The members of the CCGB are shown on this  
13 slide. There has been--we have had many meetings to  
14 discuss many of the issues dealing with budget first  
15 of all and, second of all, with what some of the  
16 next steps are.

17 (Slide.)

18 With regard to the budget issues and the  
19 funding source for fiscal year 2012 and 2013 the  
20 Clinical Center budgets will continue to be funded  
21 internally. The intent was to implement the  
22 SMRB proposal to fund the Clinical Center as a line  
23 item in the Office of the Director appropriation for  
24 fiscal year 2013. And you will recall we had many  
25 options. We had options one through five. There

1 was almost unanimous agreement that the option to  
2 put the budget of the Clinical Center in the Officer  
3 of the Director was overwhelmingly embraced.

4 But the implementation was more legally  
5 complex than anticipated and right after I talk  
6 perhaps we'll ask for some of those legal  
7 complexities to be brought forth by Barbara McGarey.

8 And the issues could not be resolved  
9 within the fiscal year 2013 budget. As many of you  
10 know, we're already dealing with the 2013 budget so  
11 that timeline has really passed.

12 (Slide.)

13 The Clinical Center Governing Board has  
14 reviewed the Clinical Center funding request based  
15 on the current patient census. We have provided  
16 recommendations to the director of NIH and actually  
17 at tomorrow's IC director's meeting we're going to  
18 be discussing them and Francis will be making a  
19 decision very shortly.

20 The recommendations attempt to balance the  
21 need to provide quality research and patient care  
22 with the need to seek efficiencies given  
23 a difficult financial environment.

24 Concurrently we have initiated  
25 collaborative efforts with the Office of Intramural

1 Research to seek further budgetary efficiencies.

2 (Slide.)

3 Now, in addition to the budget issues  
4 we've also addressed other recommendations and other  
5 priorities that came from the discussions at the  
6 SMRB.

7 And one of these was to better utilize or  
8 to better have a chance to utilize the clinical  
9 research center for the extramural community. So  
10 consistent with the SMRB recommendation to enable  
11 use of the Clinical Center by extramural  
12 investigators we have developed a new bench to  
13 bedside program. There is one currently existing.  
14 It's one that relies really almost on a tin cup from  
15 the various offices within the Officer of the  
16 Director. And this will consist--this new bench to  
17 bedside program will consist of cooperative  
18 agreements between intramural and extramural  
19 researchers utilizing the Clinical Center. The  
20 applications will be subject to peer review and will  
21 be funded by appropriate ICs. The other bench to  
22 bedside program was subject to peer review as well.  
23 So we have developed a basic outline of  
24 the program and actually issued a request for  
25 information to further shape this RFA.

1 (Slide.)

2 The program outline is called the NIH  
3 Clinical Center Cooperative Program of Bench  
4 To Bedside Research Projects and will be published  
5 either late in 2012 or early 2013 for funding,  
6 hopefully, in 2013.

7 There will be some unique Requirements.  
8 And here you can feel some of our discussions that  
9 we have had at the SMRB. Extramural investigator  
10 must have an intramural collaborator; applications  
11 must be submitted by extramural PI; the project must  
12 use the Clinical Center resources; the project must  
13 be signed off by the Clinical Center, the IC  
14 scientific and clinical directors; and awards will  
15 be for three years at more dollars than the current  
16 bench to bedside program up to \$500,000 per year in  
17 direct costs; and the IC director will determine the  
18 exact funding source, how much comes from intramural  
19 and how much comes from the extramural.

20 (Slide.)

21 We've also put out a request for  
22 information. John and Sally Rockey co-chair a  
23 committee that worked with the CCGB on this request  
24 for information. And it really is request for  
25 information on how the community views the

1 utilization of the Clinical Center. So it solicits  
2 input from extramural investigators on partnerships  
3 with NIH intramural investigators utilizing the  
4 Clinical Center. And what this RFI consists of are  
5 many of the potential uses and resources of the  
6 Clinical Center that can be utilized by the  
7 extramural community.

8 (Slide.)

9 Some of the other activities of the CCGB  
10 are to explore the total cost of the Clinical  
11 Center funding provided for ICs for services beyond  
12 those included in the Clinical Center budget to  
13 really have a sense of what it really costs to run  
14 the Clinical Center and also to begin formulating  
15 longer term efforts to assure protocols conducted at  
16 the Clinical Center are of the highest quality.

17 And going back to, I think, what Norm  
18 said, this is not something that we can just snip a  
19 bit of the tail off at a time. We really need to  
20 look at new ways for funding the clinical research  
21 center utilizing as background many of the  
22 recommendations that we heard from the SMRB.

23 So I'll stop here. Perhaps the best place  
24 to start would be with Barbara McGarey to just--if  
25 you would, in just a few minutes, discuss the

1 complexities, the legal complexities of implementing  
2 exactly what the SMRB recommended.

3 **DISCUSSION**

4 MS. MCGAREY: Sure.

5 Thanks, Steve.

6 While the principle--it's really one  
7 overarching principle and it has to do with the  
8 first bullet on Steve's last slide, nine, related to  
9 exploring the total cost of the Clinical Center.

10 Recall that as the Clinical Center is  
11 managed now within the management fund costs can be  
12 supplemented by the ICs and there is not necessarily  
13 one total number. As we move to a line item in the  
14 OD appropriation you have to identify a total  
15 budgetary number for the Clinical Center that we  
16 propose in the budget and then, if Congress accepts  
17 it, it goes into the actual appropriation. Once  
18 that happens, that number cannot be supplemented.  
19 So, you know, by going into the OD appropriation  
20 we're really fundamentally changing the legal  
21 framework of how the appropriation works.

22 And I think at this time NIH was--we're  
23 just not there yet in terms of understanding what  
24 that number is and we didn't want to, you know,  
25 remove flexibility from the Institutes in fiscal

1 year '13, you know, without understanding really  
2 what that number might be.

3 DR. KATZ: I should add that the directors  
4 tomorrow--the steering committee last week and the  
5 directors tomorrow will be discussing with Francis  
6 the implementation of some of the recommendations--  
7 of that recommendation from the SMRB but done in a  
8 little different way so that it doesn't involve the  
9 clinical center appropriation within the Office of  
10 Director.

11 Richard?

12 DR. HODES: Just a question for Barbara.

13 So we understand this prohibition against  
14 augmenting an appropriation.

15 On the other hand Institutes and Centers  
16 with their own appropriations certainly do find ways  
17 to collaborate by co-funding certain efforts.

18 Is that not the kind of flexibility that  
19 could be used to address this constraint?

20 MS. MCGAREY: If those--if those co-  
21 funding--if those projects were deemed to be part of  
22 the Clinical Center--either the infrastructure or  
23 the research activities there--then you'd have to  
24 really look closely at that and make sure that you  
25 weren't--I mean it--to some extent it has to do with

1       how the appropriation is actually written and what  
2       that line item specifically says.  So those projects  
3       could conceivably be included in that line item and  
4       then you would have a problem.

5                   DR. CASSELL:  Steve, could we hear more  
6       about what may discussed tomorrow as an alternative  
7       to the SMRB recommendations as far as the funding  
8       through the director's office?

9                   DR. KATZ:  So it is possible to do as was  
10      recommended by the SMRB to take a very small amount  
11      of the total NIH budget and put that into the  
12      management fund and gear that towards the clinical  
13      research center.  That is it would end up being--if  
14      you look in the booklet actually I have a table that  
15      was--I think convinced the group that this could be  
16      done at very low cost but it would just be done  
17      physically in a different way.  So it would be  
18      keeping with the idea that the clinical research  
19      center was going to be utilized and opened up to the  
20      extramural community and, as a consequence,  
21      there would be a very small amount of money in the--  
22      to the tune of .02 or less percent for the  
23      utilization of the Clinical Center by the extramural  
24      community.

25                   DR. FAUCI:  So functionally the effect

1 will be the same--

2 DRS. KATZ: Speak up, Tony.

3 DR. FAUCI: I think it's on. But  
4 functionally the effect will be the same that the  
5 additional delta of--just to refresh--I don't know.

6 I think we need to refresh everybody's memory that  
7 we were talking about that if the Clinical Center  
8 might need as a delta increment in a given year, not  
9 the whole thing of the Clinical Center, the delta  
10 increment in a given year, an amount that's more  
11 than the percentage of the NIH increase. Let's say  
12 the NIH is flat and they need two percent increase.

13 That two percent we were discussing as a mechanism  
14 of how do you get that two percent taken out of the  
15 totality of the NIH budget versus the intramural  
16 program. One of the ways was to make it a separate  
17 item and then Francis could do that.

18 So what Steve is saying is that  
19 functionally you could do the same thing by taking a  
20 small amount of money out of the totality, putting  
21 it in the fund and then have that fund be--if  
22 necessary, utilized at two percent.

23 DR. KATZ: And that--that two percent that  
24 Tony is talking about is two percent of the Clinical  
25 Center budget so it's not two percent of the total

1 NIH budget.

2 And for those of you who want to see the  
3 example it's in that booklet on page 18. That was  
4 the--that was the example that was used as to what--  
5 how little of that moneys would be utilized to keep  
6 the vitality and the functioning of the clinical  
7 research center.

8 CHAIRMAN AUGUSTINE: Arthur?

9 DR. RUBINSTEIN: So I saw the request for  
10 information and I was very pleased about that I must  
11 say and I showed--it came out, I think, a week ago  
12 or something like that. I showed it around to some  
13 of the key people at Penn and they were quite  
14 excited by it. So I think it was a really good  
15 step. We'll see, you know, what feedback you get  
16 but I was encouraged by the thought that this was a  
17 new and important initiative. So.

18 The other thing is--and this is probably a  
19 stupid comment. So you went through all the stuff  
20 with congress getting the NCATS approved and all  
21 that difficulty, one thing or another, can't you  
22 just persuade them to be a little more flexible  
23 about the Clinical Center instead of going through  
24 these hoops and putting some language that they will  
25 support?

1                   Excuse me if that's stupid.

2                   MS. MCGAREY: No, no, no not at all.

3                   The--right, so the fundamental--the  
4                   fundamental principle is one of general  
5                   appropriations law so even Congress can't get around  
6                   the principle. But I see what you're saying, which  
7                   is, you know, couldn't we come up with language that  
8                   would say, you know--you certainly--usually it's up  
9                   to a certain amount or not to exceed. You still  
10                  have to come up with an amount.

11                  DR. RUBINSTEIN: Yes, but if said that  
12                  wouldn't go up a lot more than .023 of the NIH  
13                  budget or whatever, I think you could do it, right?

14                  MS. MCGAREY: You'd still need to know  
15                  what that--yes. So of course but you need to know  
16                  what that benchmark amount is and I think NIH is not  
17                  ready to say what that is because of the--you know,  
18                  the prior funding has been really from all the  
19                  Institutes and core funding, et cetera.

20                  DR. PATTERSON: Norm had to step away for  
21                  just a moment. So, Gail, I know you were asking to  
22                  say.

23                  DR. CASSELL: But I, actually was going to  
24                  make the same stupid recommendation that Arthur  
25                  made. It does seem to me to be reasonable given the

1 establishment of NCATS and how closely linked the  
2 Clinical Center is to translation, and I realize the  
3 hesitancy to put a number on it but maybe there  
4 could be some way to phrase it so that it would give  
5 you protection but also flexibility.

6           And, I guess the question I have for  
7 everybody is how much of a problem is this lack of  
8 flexibility that you had before in terms of an  
9 Institute being able to supplement in the event that  
10 there was an emergency need or something else.

11           What I worry is if there were a disease  
12 outbreak where you need to do studies in the  
13 Clinical Center and then you get locked in to this  
14 mechanism and you can't supplement the Clinical  
15 Center to do what needs to be done without  
16 compromising either already ongoing studies or ones  
17 that were already planned.

18           And I really haven't thought this through  
19 too carefully but it seems like you should be--one  
20 should be able to make it work.

21           MS. MCGAREY: Yes.

22           DR. KATZ: So this presentation doesn't  
23 necessarily preclude our doing this in the future at  
24 all, number one. And, number two, the specific  
25 example, Gail, that you give does still allow

1 Francis the flexibility of addressing that  
2 particular need in an urgency in addition to his  
3 director's discretionary fund. He can move that kind  
4 of money if needed.

5 DR. FAUCI: But--and you don't even have  
6 to invoke the discretionary--the director's  
7 discretionary fund in this, Gail, because the way  
8 the proposal is, is either put it as a line  
9 item in the OD, which you heard the reasons why that  
10 would be tough, versus allowing money to come from  
11 the broader NIH mechanism to go through the standard  
12 institute way that we feed money into the Clinical  
13 Center and then the Institutes can decide which of  
14 the mechanisms that they'll use to do that. That if  
15 there is an emergency they could just get more money  
16 in that group and in that arena without having a  
17 line item.

18 So it's really a question of a line item  
19 versus non-line item, not flexibility because, I  
20 think, as Steve alluded to, we still have that  
21 flexibility to do things more or less depending upon  
22 the situation.

23 DR. COLLINS: So I appreciate the  
24 suggestions from Gail and Arthur that one nice way  
25 to think about this is if you could really do it the

1 way you would like to and organize an effort to try  
2 to make that clearly documented in legislative terms  
3 or at least in appropriations language terms. That  
4 might be ideal.

5 I guess what we have learned in the NCATS  
6 experience is it takes a long lead time to be able  
7 to try to encourage those kinds of changes to happen  
8 and there's a lot of unpredictability of the  
9 outcome. And this is, I think, another reason why  
10 we're not trying to do something for FY13 but  
11 instead considering all of the options for 14. And  
12 your words are very helpful in that regard.

13 DR. KATZ: But implementing the  
14 recommendations of the SMRB in a very similar light  
15 earlier on for '13.

16 **PUBLIC COMMENT**

17 DR. PATTERSON: Any more comments from  
18 SMRB members?

19 Any questions from the audience?

20 Okay. We were scheduled on the agenda  
21 to have another public comment. We don't have  
22 anyone formally signed up for comments but I'd like  
23 to open the floor.

24 Is there anyone in the room who would like  
25 to approach the mike right now and make comments?

1 Anybody?

2 (No response.)

3 Larry, are you standing up to volunteer?

4 DR. TABAK: No.

5 DR. PATTERSON: No.

6 (Laughter.)

7 Okay. All right. Well, we are now going  
8 to take about a 45 minute break to get lunch.

9 There are boxed lunches available here in  
10 the room next door for board members and there's  
11 also a cafeteria on the first floor if you'd like to  
12 go there.

13 And we'll convene--reconvene at 1:30 and  
14 we'll be talking about a new tasking for the--I'm  
15 sorry. 1:30. That was wishful thinking. 1:30, 45  
16 minutes.

17 (Simultaneous discussion.)

18 Oh, ok. Well, we need to check. Well,  
19 could people be back here say at 12:30? Okay. All  
20 right, 12:30. We're ahead of schedule. Okay. Good.

21 (Whereupon, at 11:45 a.m., a lunch break  
22 was taken.)

23

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

A F T E R N O O N S E S S I O N

CHAIRMAN AUGUSTINE: Ok, why don't we start out?

We're going to turn this afternoon to future tasks for the SMRB and there's one in particular that Francis is going to describe to us.

And I would just note as a way of background that we have put a pretty good load on the NIH at this point in time and, as you can see, they're working mightily in the face of great bureaucracy to bring about some of the suggestions that we've made.

At the same time, given the requirements on us to meet five times on every issue as a Board, in addition to all the times we meet as working groups, if we do have other things we'd like to address we probably need to get on with it or there will be a very long down time here, which assuming there are constructive things to be done would not be a good outcome.

So this afternoon we'll talk about the one proposal that Francis has and as a way of background Dr. Sally Rockey will be presenting information to us.

1                   And as you probably know she's the Deputy  
2 Director in the Office of Extramural Research here  
3 at NIH.

4                   And Francis, you're not scheduled to say  
5 anything at this point but do you want to say  
6 something in the way of setting the stage here?

7                   DR. COLLINS: Let me just tee this up very  
8 briefly and then Sally has some real content to put  
9 in front of you that I think will be interesting and  
10 will inform the discussion about a possible charge  
11 for the SMRB.

12                   Clearly at a time like this we have to be  
13 sure that every aspect of our portfolio is being  
14 efficiently allocated to produce the greatest  
15 possible scientific results.

16                   The SBIR and STTR programs which Sally  
17 will describe to you are, in fact, congressionally  
18 mandated and occupy a certain percentage of our  
19 budget. And Sally will go through that. And we are  
20 proud of some of the accomplishments of those  
21 programs which particularly support research in  
22 small business but we're not convinced that they are  
23 absolutely optimized.

24                   And at a time where again resources are  
25 tight and also where we're trying to do everything

1 we can to contribute to the encouragement of the  
2 economy and everybody agrees that small businesses  
3 are crucial for that, we thought it would be timely  
4 to take a look at the SBIR and STTR programs and  
5 assess what might be done to make them even more  
6 effective than they have been. And, hence, bringing  
7 this to you as a pretty authoritative and  
8 distinguished and experienced group to seek your  
9 advice about what we might be able to look at  
10 in terms of potential changes in the program that  
11 would make it even more effective.

12 So we gave a lot of thought to topics that  
13 the SMRB might be particularly well situated to  
14 address and came up with this one as certainly the  
15 top of my list at the present time for you all to  
16 consider today.

17 I thought in preparation for that it would  
18 be good for Sally to lay out some of the specifics  
19 of this program not down into the real details  
20 because that would take quite a long time, and if  
21 you decide to take this on as a task there will be  
22 time for that in those five meetings that you've  
23 already referred to. But I thought you needed to  
24 have a pretty good sense of the landscape and that's  
25 what she's prepared to put in front of you and then

1 we can have some discussion about this.

2 So thank you for your consideration of  
3 this as a potential charge and thanks to Sally and  
4 her team for organizing a presentation that I think  
5 you'll find to be pretty interesting.

6 **OVERVIEW OF THE SMALL BUSINESS INNOVATION**  
7 **RESEARCH (SBIR) AND SMALL BUSINESS TECHNOLOGY**  
8 **TRANSFER (STTR) PROGRAMS AT NIH**

9 DR. ROCKEY: Thank you very much for  
10 having me.

11 (Slide.)

12 I just wanted to mention to you starting  
13 off that I have my very capable SBIR team here  
14 with us today who will be able to answer with more  
15 detail some of the nuances of the program.

16 (Slide.)

17 The SBIR and STTR stand for Small  
18 Business Innovation Research Program and  
19 Small Business Technology Transfer Research Program.

20 (Slide.)

21 The purposes of the program and the  
22 congressional goals are to stimulate technological  
23 innovation, use small businesses  
24 in order to meet the federal research and  
25 development needs (so that's a very critical aspect

1 that we are targeting towards a sector of our  
2 economy), foster and encourage participation by  
3 minorities and disadvantaged persons in technology  
4 and innovation (and I will tell you that we have  
5 abilities in this program to target women and  
6 minority-owned businesses), and increase private  
7 sector commercialization. That's a critical aspect  
8 of the program, including when companies apply for  
9 the program they have to talk about the potential  
10 for commercialization in these programs.

11 (Slide.)

12 Now, the program has been around a long  
13 time. It's been around since 1982 is when it was  
14 authorized through the Small Business Innovation  
15 Development Act in 1982 for the Small Business  
16 Program.

17 (Slide.)

18 The STTR program is--has many of the same  
19 attributes. In fact, it's about stimulating and  
20 fostering scientific technological innovation like  
21 the SBIR program. This is more a program that is  
22 targeted towards cooperative research, so research  
23 between small businesses and research institutions,  
24 primarily academic institutions. So that is a  
25 difference. And that program was authorized in 1992

1 so there was a ten year difference.

2 So this is--both these programs are long  
3 term programs that we've--that have been in place 30  
4 or so years. And over the course of the years many  
5 things have been tweaked, many different types of  
6 SBIR programs have been developed and we'll talk a  
7 little bit about that.

8 (Slide.)

9 So how do we get funding for these  
10 programs?

11 First of all there is a set aside. So any  
12 organization or agency in the federal government who  
13 has over \$100 million of extramural, that's outside  
14 of the organization, R&D funds is required then to  
15 set aside 2.5 percent of these funds for the SBIR  
16 program. So every single agency, Department of  
17 Defense, DOE, USDA, NSF, et cetera, has a small  
18 business program.

19 That percentage has actually increased  
20 over the years. With some of the reauthorizations  
21 they actually went from an earlier amount, which I  
22 believe was 1.35 when it first started out to 2.5  
23 over time. The Small Business or the STTR program  
24 is much smaller than that. It requires that if you  
25 have a billion dollars in extramural R&D that you

1 set aside .3 percent of your extramural dollars  
2 towards small businesses.

3

4 (Slide.)

5 So here is a brief history of our re-  
6 authorization. And you can see all this in yellow  
7 because what's happening now is that while we had  
8 reauthorization in '88, 2000 and so forth and so on,  
9 we have been caught in a quagmire in the last--since  
10 2009 of trying to reauthorize the program. There is  
11 some focuses about the program that there has been  
12 some discussions up on the hill and with the federal  
13 agencies of how best to reauthorize this program,  
14 including what should be the level of set aside,  
15 what should be the amount of venture capital that's  
16 allowed in the program, et cetera, et cetera. So we  
17 have been on this reauthorization treadmill for--and  
18 dealing with only temporary extensions of our  
19 authorization since March 20th of 2009. Again we  
20 have another temporary extension right now on  
21 November 18th, 2011. But for the community this is a  
22 lot of uncertainty for the community when they don't  
23 see a real reauthorization conducted for the  
24 program.

25 (Slide.)

1 I was wondering--did I skip a slide?

2 I'm sorry.

3 Here is the participating agencies. You  
4 can see that HHS, which is primarily an NIH, is one  
5 of the large contributors of \$682 million a year.  
6 DOD is \$1.4 billion. Again remember it's based on  
7 your extramural funds and a portion of your  
8 extramural funds.

9 NIH is probably one of the most active  
10 federal agencies in regard to the small business  
11 program and, in fact, we oftentimes are asked to  
12 come to the table to talk about our policies and our  
13 directions for our programs as a driver for the SBIR  
14 program across the federal government.

15 (Slide.)

16 So here are our Institutes and Centers.  
17 All of our Institutes and Centers except the ones at  
18 the bottom - the Clinical Center, CIT, and CSR - who  
19 have funding authority, participate in the SBIR/STTR  
20 programs, except for Fogarty. The idea about the  
21 SBIR program is that it is a domestic program and  
22 that's why Fogarty does not participate.

23 (Slide.)

24 So there are some unique management  
25 implementations of the program. And this is

1 important to recognize because the SBA, the Small  
2 Business Administration, has quite a bit of  
3 oversight for the program across the federal  
4 government so it oversees and coordinates all the  
5 programs at the 11 agencies. And it also develops  
6 the policy directions based on legislation. So it  
7 sets ground rules for the program.

8           So, for example, we used to allow venture  
9 capital backed companies to participate in our  
10 program to a greater degree than we currently allow.  
11 That was, in part, by a policy analysis done by the  
12 SBA back in 2003 which then excluded certain types  
13 of venture backed companies to participate. So they  
14 can drive the implementation of the program at the--  
15 at the agencies.

16           We have a central office that is  
17 responsible for the--here in OER that is responsible  
18 for coordinating across the ICs and reporting and  
19 also producing our parent announcements or our  
20 funding opportunity announcements. Each IC has a  
21 lead program and usually grants management are  
22 points of contact because making SBIR grants because  
23 of the requirements of dealing with small businesses  
24 often requires difference types of expertise to  
25 issue those types of awards. And we also serve as

1 our sister agencies in HHS, CDC, FDA with review and  
2 other types of announcements for their SBIR  
3 programs.

4 (Slide.)

5 This is the current budget allocation for  
6 the SBIR across the ICs. So remember because the  
7 ICs receive appropriation they are then thus  
8 expected to spend a certain percentage of their  
9 funds. This just gives you an idea across the IC  
10 how much each of those ICs devote to the program.

11 (Slide.)

12 So how do we construct the phases of this  
13 program?

14 The first is a Phase 1 feasibility study.

15 There is a budget guide of 150,000K and 100K for  
16 STTR of total Costs. They can have a six month  
17 period or a one year period for STTRs. And the  
18 average though for SBIR is--actually we exceed the  
19 guidance that's put out by the SBA. Our--generally  
20 our average award of a Phase 1 is 214K; for STTR  
21 it's 200K. So that's the first part. So when  
22 they come in they compete for a Phase 1. It's a  
23 competitive process like all of our programs. They  
24 go through peer review and we award them.

25 Then they have what is called the Phase 2.

1 This is the first--the full research R&D portion of  
2 the program. They can have up to 750K for STTR and  
3 one million for SBIR over a two year period. But  
4 again we have exceeded the guidance on the awards.  
5 The average is \$1.2 for SBIR and \$1.2 for STTR. So  
6 that is the Phase 2. They come in and they now are  
7 conducting the research on the road to  
8 commercialization we hope of a product, a service, a  
9 technology, et cetera.

10 We have what's called a fast track.  
11 We're one of the few agencies that has this where we  
12 combine the Phase 1 and Phase 2 application and  
13 review process. One of the things that's a  
14 difficulty for our businesses is that they are in  
15 our typical peer review process that can take a long  
16 time. The Phase 1/Phase 2 is for those that we feel  
17 quite assured that they're going to be--that we are  
18 assured that the feasibility of the project will  
19 lead to an appropriate Phase 2, therefore, we  
20 combine them. They do go through a competitive  
21 review. They have to be part of this and not all of  
22 our Institutes and Centers participate in this.

23 And then we also have a Phase 2b competing  
24 renewal. This is also unusual for our program  
25 because we often times fund very long term

1 technology development for some of these products or  
2 services that we allow them to come back and compete  
3 for a renewal of their program. Again not all the  
4 ICs participate. It varies in its size. It can be  
5 up to three years. And generally it's for the more  
6 clinical side of things when there's complex  
7 instrumentation or tools and they have to get  
8 through FDA and things like that, we will grant them  
9 a competing Phase 2.

10 (Slide.)

11 So what is--then there's the Phase 3,  
12 which is the commercialization stage. Now, the  
13 commercialization stage is really we give them some  
14 technical assistance in this phase but we at NIH do  
15 not fund this phase. You can, however, fund it.  
16 Some of the other agencies like DOD, who usually is  
17 the customer of these small businesses--in fact, DOD  
18 is buying much of the technology that their small  
19 business program is producing. They will invest with  
20 non-SBIR funds in Phase 3, the commercialization  
21 stage.

22 What is commercialization?

23 What's the definition?

24 Reaching the market. We base it on sales  
25 or license revenues, R&D investments and research

1 contracts and sales of equity, investment by a third  
2 party, sale/merging of a company, et cetera, et  
3 cetera. So it's a typical definition of  
4 commercialization.

5 (Slide.)

6 So this just gives you a history within  
7 HHS how much is spent in both the SBIR and the STTR  
8 programs. Most of our sister agencies do not have  
9 an STTR program because of the limitation of a  
10 billion dollars in order to have a STTR. And this  
11 gives you averages for Phase 1 and Phase 2.

12 (Slide.)

13 And I will mention that the guidelines are  
14 upped each March--were upped just this last March to  
15 increase the size of our awards. So we do usually  
16 try to implement the new guidelines whenever they  
17 come out from SBA.

18 (Slide.)

19 Now, what is the eligibility?

20 First of all, small business concern--  
21 you'll see me use this acronym in the slides--is  
22 they must be a small business. A small business is  
23 one that's organized for profit. So this is a for  
24 profit program. You cannot be a non-profit  
25 organization in order to be a recipient of the SBIR

1 program.

2 They must be small, of 500 or fewer employees, and  
3 that includes their affiliates. So this gets  
4 complicated when they have venture capital backing  
5 how the size of the venture capital company can, in  
6 fact, impact the size of their own organization.

7 Now, the interesting thing is that we've  
8 looked at the average size of the companies that we  
9 support and on average our companies that we support  
10 have ten employees. So you can imagine these are  
11 quite small companies.

12 The principal project director or  
13 principal investigator must have primary employment,  
14 51 percent or greater, with the small business  
15 concern. So in other words you have to be--you  
16 cannot be a university scientist with most of your  
17 salary coming off the university and then be a PI on  
18 an SBIR grant. You have to be employed by that  
19 company.

20 And then 51 percent--and this is where we  
21 get into the venture capital question. At least 51  
22 percent U.S. owned by individuals and independently  
23 operated or at least 51 percent owned and controlled  
24 by another one business concern that it itself is at  
25 least 51 percent owned and controlled by one or more

1 individuals. This is very complicated. So  
2 eligibility for SBIR when there's joint ownership of  
3 small businesses and other complications we often  
4 have to send this off to SBA to get an agreement of  
5 whether or not a company is eligible.

6 (Slide.)

7 Now, STTR is much—is similar except that  
8 it has to be a formal cooperative R&D effort. So at  
9 least a minimum of the effort has to be by the small  
10 business, at least 40 percent, and 30 percent by a  
11 U.S. research institution. So that's the minimal.  
12 So the research institution can have a higher  
13 percent under these circumstances. The U.S.  
14 research institution can be a college or university,  
15 other non-profit research organization or federal  
16 R&D center.

17 There is a requirement for some sort of  
18 intellectual property agreement between the small  
19 business concern and the research organization, and  
20 the PI is not required to be employed by the small  
21 business so they can be at the research organization  
22 but that PI must commit at least a minimum of 10  
23 percent of their effort. So you can see now how this  
24 is very important that many of our small businesses,  
25 even in the SBIR program, have involvement with

1 universities but in this case you see all of them  
2 do--or with another research organization.

3 (Slide.)

4 So just to tell you again this is just the  
5 major differences. SBIR permits partnering and the  
6 primary employment must be the small business. STTR  
7 requires partnering and they can be employed by the  
8 research organization. But remember the small  
9 business is always the official awardee so the small  
10 business--even though you have a partnership in the  
11 STTR program, the small business still receives the  
12 award.

13 (Slide.)

14 So let's talk about success rates. This  
15 just shows you on the greenish line that the success  
16 rates of the SBIR program. Now this is SBIR/STTR  
17 combined. This is also Phase 1 and Phase 2  
18 combined. And this we're comparing with the success  
19 rate of ROIs. And as you can see back in the early  
20 2000s we had a success rate about the same and then  
21 the SBIR program started going up quite  
22 dramatically. The reason this happened is that the  
23 number of applications that we received in SBIR were  
24 going down quite dramatically at this time. And then  
25 you can see what's happened in 2010. We had

1 suddenly a big drop in the success rate of our SBIR  
2 program, and that is primarily due to the Recovery  
3 Act funding and I'll explain that in just a moment.

4 (Slide.)

5 So what happened with ARRA? When we  
6 received our 1 point or \$10.2 billion in the  
7 stimulus package in 2009 there was as part of the  
8 legislation that authorized the stimulus package and  
9 set aside--and appropriated the funds for the  
10 stimulus package, we received an exemption from  
11 having to set aside a portion of our funds for  
12 SBIR/STTR. Small businesses, however, were not  
13 excluded from competing in our programs and actually  
14 they competed and did very well in our programs in  
15 the Recovery Act.

16 However, we felt that it was important to  
17 support the small businesses as economic growth so  
18 we developed two funding opportunity announcements  
19 for small businesses. One was a catalyst award  
20 where it was basically a Phase 1 award for those  
21 kind--those organizations that had yet participated  
22 in our program. So we were trying to get new  
23 entrants into the program to broaden the base of  
24 small businesses that we had to choose from. And the  
25 other was called the Bridge Span Program, which was

1 really this program to gap--to bridge the gap, the  
2 valley of death gap. We really wanted to--between  
3 innovative R&D and the commercial market. We  
4 encouraged third party investment, which we're not  
5 really technically allowed to do in the SBIR program  
6 but in this Bridge Span Program we did. And we  
7 don't have an evaluation of this program yet but it  
8 could serve as a model for going forward with some  
9 of the ways that we might want to use the SBIR  
10 funds. However, it's just now really into a second  
11 year and so as these projects go forward we'll be  
12 closely analyzing this.

13 (Slide.)

14 So this again just shows you the  
15 differences in our success rates in 2009 and 2010.  
16 There is some difference between STTR and SBIR.  
17 Traditionally, STTR had a smaller success rate or a  
18 lower success rate than SBIR. That sort of flipped  
19 in the post ARRA period. However, we saw--one of  
20 the reasons that we saw so many applications come in  
21 that reduced our success rate was because of the  
22 advent of these new programs. We went out and  
23 advertised them across the country to bring in small  
24 businesses and we had quite a few applicants who  
25 were either not successful in their program or

1 became more knowledgeable about NIH's SBIR program,  
2 and we saw those numbers come up dramatically in the  
3 number of applications we received in 2010. So  
4 that's our explanation.

5 We also had some of those Catalyst Awards  
6 those new entrants come back for their Phase 2s so  
7 that was another reason why we saw an increase.

8 (Slide.)

9 So how do we review these applications?  
10 As I said, we use our same standard review process.

11 This shows you the due dates. When--if we receive  
12 an application a due date is April 5th. It usually  
13 goes to scientific review in July. It has council  
14 review in October and the award date at the earliest  
15 is December. So this is the typical six to nine  
16 month period that we use on our other awards.

17 One of the issues for the community is  
18 they're small businesses often with lacking  
19 financial backing and if they don't get a small  
20 business it's a difficulty for them. So waiting  
21 this long time period, which we think is necessary  
22 in order to review them, often can be a financial  
23 difficulty for the institution--for those  
24 organizations. So one of the things that we always  
25 think about is whether or not there are things to do

1 with this review process in order to expedite these  
2 particular types of awards. That's why the fast  
3 track or that combination Phase 1 and 2 are very  
4 important because if you can imagine a person--a  
5 small business that gets a Phase 1 traditionally and  
6 comes back in for a Phase 2 has to go through that  
7 review process yet again. So that fast track, which  
8 is a combination, a combo, allows you to just go  
9 through that review process once.

10 (Slide.)

11 So here is really again our gap funding.  
12 We do have Phase 2 competing renewals but I want to  
13 talk to you about a couple of other ways that we  
14 provide technical assistance for our grantees in  
15 order to help them with commercialization; try  
16 to bridge this gap and get to commercialization more  
17 quickly.

18 (Slide.)

19 So first of all we have a technical  
20 assistance program within the SBIR program. This is  
21 authority to conduct discretionary technical  
22 assistance. We--what we do is pool about \$5,000 per  
23 award centrally into central NIH. We have a program  
24 both for Phase 1 recipients and Phase 2. And it's  
25 really trying to help those organizations, those

1 small businesses, make better technical decisions  
2 and solve technical problems that arise during their  
3 project. So what is it about their markets, their  
4 potential for commercialization that might be  
5 hindering them? So we want to give them some  
6 assistance on this.

7 (Slide.)

8 So our first program is the Niche  
9 Assessment Program. We can fund up to 100 Phase 1s  
10 per year. We have a vendor who helps with this.  
11 What this person does is if you're one of these  
12 hundred recipients the vendor goes away and does a  
13 market analysis for the company that has received  
14 this assistance. And they identify alternative uses  
15 for the technologies, where those companies might  
16 have a competitive advantage, and a market entry  
17 strategy. Remember this is in Phase 1. This is very  
18 early in the process. And we really think that  
19 helping them at that point identify the markets  
20 upfront sets them on the right stage as they go  
21 forward into the R&D development or in the R&D  
22 research and development phases.

23 The second program is for our Phase 2  
24 recipients. We fund about 40 or 80 of these  
25 companies a year. This is a very hands-on technical

1 assistance program. What we do is we have again a  
2 vendor who actually works and has meetings with the  
3 SBIR Phase 2 recipient to set up a business strategy  
4 and planning process to help build their alliances  
5 to find investors to help market their product. So  
6 right here we are helping them at the beginning or  
7 during their Phase 2 to go on to that commercial  
8 stage.

9 (Slide.)

10 We have also what's called PODs. I like  
11 these acronyms that we can say. PODs is a web-based  
12 tool to track SBIR/STTR outcomes by award and  
13 company. Currently this program is only accessible  
14 to NIH staff but we are hoping that we will expand  
15 this so that it will be available to the public.  
16 The people that receive the commercialization  
17 assistance outcome data are tracked and there's a  
18 company based module that's going to go online to  
19 allow companies to update their commercialization  
20 data regularly.

21 You're going to see in a moment that a  
22 couple of studies we've had have tried to determine  
23 what is the rate of commercialization  
24 for our small business programs. And we have some  
25 differences in outcome of this. So we think that by

1 tracking commercialization in a centralized database  
2 we'll have a better way to do the analysis and to  
3 see how successful our programs are.

4 (Slide.)

5 We also have a Pipeline to Partnership  
6 Program, which is a web showcase of SBIR/STTR and  
7 NIH licensed technologies. This is really like a  
8 match making service where we have our recipients  
9 and potential strategic partners and investors come  
10 online and take a look at each other. It searches by  
11 application category so if you are working on  
12 diagnostics, if you're working on tools,  
13 therapeutics, et cetera, you can do your matches  
14 through there. And you can also search by disease  
15 and see what kind of technologies are out there.  
16 And it's used by both our small business concerns  
17 and by outside parties. So we are seeing that this  
18 is a fun and important match making program.

19 (Slide.)

20 Now, let's talk about the--some unique  
21 features of our program. First of all, we have the  
22 ability to since we implemented fast track to try to  
23 accelerate how quickly we award grants. We are 95  
24 percent grants. We also use contracts so that  
25 flexibility to use either a grant or contract

1 mechanism is good. For example, Department of  
2 Defense uses almost entirely contracts. Some might  
3 debate this mix and that's something you can  
4 certainly look at. We have a distributed and  
5 centralized approach to the program where we have  
6 the-my office which does the centralized policy  
7 development but really allow the institutions, each  
8 IC here, to develop a program in a way they see fit.  
9 And so we have a very team approach to the SBIR  
10 program.

11 (Slide.)

12 There are some special programs within the  
13 SBIR program. I just want to point out a couple of  
14 them.

15 About 30 percent of all of our awards--  
16 most of them are company-initiated. In other words  
17 we have a parent funding announcement, the companies  
18 with their grand ideas come in, like our other  
19 programs they are sent to the appropriate study  
20 sections,  
21 they are reviewed and then the Institutes and  
22 Centers decide whether or not to fund them.  
23 However, about 30 percent of all of our awards  
24 result from funding opportunity announcements that  
25 are targeted. We're asking for specific types of

1 technologies or services that we want developed and  
2 we solicit those and the companies come in and  
3 response. The NCI actually uses more--25 percent of  
4 their funds towards contracts. They also have a  
5 Regulatory Assistance Program which really helps the  
6 small businesses get through the FDA process. And  
7 they also have a Phase 2 Bridge Program which helps  
8 those Phase 2s do the longer term approach. They  
9 also have an investor forum where they bring in  
10 investors to take a look at their small business  
11 awardees to help them to find investors and venture  
12 capital for their programs.

13 (Slide.)

14 We also have just embarked on the SBIR  
15 Technology Transfer Program. This is where small  
16 businesses that we have supported are working with  
17 our intramural program. We have some contracts on  
18 specific topics where we want this relationship to  
19 develop. And we are--in our Office of Tech Transfer  
20 we are developing a new exclusive license agreements  
21 for startup companies. So to really help these  
22 startup companies collaborate with us here at NIH.  
23 So if you want to look at that you can go and look  
24 at that particular website there.

25 (Slide.)

1           So, we have been evaluated quite  
2 extensively and we went through a national survey to  
3 evaluate the SBIR program that we did back in 2002  
4 and then again in 2008. We did find that we were  
5 meeting our congressional goals for the program and  
6 75 percent of the 2008 study cohort  
7 commercialization has at least been initiated and  
8 that the companies grew under the program. So the  
9 number of permanent hires in the community was going  
10 up.

11           We've had multiple GAO reports on the SBIR  
12 program. The National Academy did a whole federal  
13 SBIR study in 2008 and one in 2009. They did one  
14 specifically to NIH.

15           (Slide.)

16           Now when it comes to commercialization  
17 we've had a bit of--we generally say that we--about  
18 40 to 50 percent of our companies, based on these  
19 studies that have gone on, actually commercialized  
20 products.

21           (Slide.)

22           So in this case when the NRC did the study  
23 on NIH's program, as I said, 40 percent reached  
24 commercialization. They thought there was effective  
25 mission alignment with the NIH and SBIR. They

1 thought that the SBIR awards had positive effects on  
2 healthcare. The companies grew and retained two  
3 FTEs per project. That doesn't sound like much but  
4 when you consider that most of our companies are  
5 about ten people, two FTEs is 20 percent so that's  
6 pretty big. And we maintain the distributed  
7 management structure and program flexibility which  
8 they found was good.

9 (Slide.)

10 Now, let me give you a couple of examples--  
11 -I'm almost done here--of some of our successes. I  
12 won't go through all of these but the Biopsy  
13 Sciences did a water containing ultrasound visible  
14 marker in breast cancer imaging.

15 DeltaNu had small Raman spectroscopic  
16 instrumentation for medical devices. They've had  
17 \$11 million in sales.

18 (Slide.)

19 You probably know IntraLase, which has  
20 done laser in corneal surgery on the market.

21 Martek Global Services is the Omega 3 fatty  
22 acids that you find in infant formula. That company  
23 was recently acquired for \$1.5 billion.

24 And the Sonicare Toothbrush was developed  
25 through our program. They have \$1.5 billion in

1 sales and over 500 jobs created from this program.

2 So and there's many more. I would love for  
3 you when you embark on this study to go on to the  
4 website and see. On our website we have all of our  
5 awards. There is some really fascinating work that  
6 is going on.

7 (Slide.)

8 So what are some of the challenges for our  
9 program? Well, the attributes--I'm going to talk  
10 both sides of some of these attributes. First of all  
11 our pros are that our grants and contracts, we have  
12 multiple funding announcements so we do it  
13 throughout the year and multiple due dates and  
14 budget times and fast track and Phase 2. So we do  
15 all these flexibilities. And--but we don't have  
16 much--we don't have anything in the way of  
17 administrative funds to support this program. In  
18 other words, we cannot set aside a piece of this  
19 SBIR program to manage this centrally. Also, it  
20 gives flexibility to the ICs to manage the program  
21 in the ways they see fit and align the programs with  
22 their mission. So one might--one of the things you  
23 might want to look is how well that's being done in  
24 the ICs.

25 The application and review--it follows our

1 standard procedures. They are getting very rigorous  
2 review. However, that is a six to nine month  
3 process. Is that too long for small businesses?  
4 SBA has pushed us to shorten this--so because we put  
5 it into our very time tested process we have---it's  
6 been difficult for us to shorten this. We do have  
7 SBA oversight, which really helps us because we can  
8 have joint agency funding opportunity announcements.  
9 We do this often. We just did our robotics with the  
10 National Science Foundation for small businesses.  
11 We can also implement best practices and learn from  
12 each other. So having SBA oversight is good.  
13 However, it's oftentimes difficult. We have to  
14 educate the SBA about our program. Sometimes they  
15 don't agree with us in the flexibility that we want  
16 to implement and sometimes there's delays when  
17 there's new policies arise and we have to implement  
18 those new policies. And then, of course, the  
19 reauthorization. It is great that we're under an  
20 authorization. I it gives us stability but the  
21 problem is if we don't have the reauthorization then  
22 there's instability. So it's a pro and a con.

23 (Slide.)

24 So here's some things to think about. You  
25 can think about our processes for SBIR program to

1 implementation and management. I think there's  
2 always ways to tweak programs to make them most  
3 effective and there's certainly things to think  
4 about. But we also want to think about what our  
5 role should be because right now our role pretty  
6 much is at Phase 1 and Phase 2 but in this entire  
7 continuum are there ways that NIH could engage in  
8 other aspects of the continuum, bridging the gap,  
9 the commercialization aspects, et cetera. Is there  
10 ways we want to do that?

11 And then, also, in what ways are we using  
12 SBIR to meet our mission? When you think about the  
13 stand up of NCATS it might be an ideal opportunity  
14 to bring small business in the private sector  
15 through the small business community into the  
16 program. Now, I will tell you BIO is very, very  
17 engaged in the small business program because many  
18 of the bio companies are small businesses. So they  
19 are very interested in the small business program  
20 and oftentimes will engage us and support the small  
21 business program up on the hill and other places.  
22 So when NCATS stands up, and as well as how the  
23 other ICs use the small business program, it is  
24 something that you might want to weigh in on.

25 (Slide.)

1 I'll just say there's much more  
2 information and let me just introduce Matt Portnoy,  
3 who is our director of SBIR here. This is Lenka  
4 Fedorkova, who is our assistant here, a program  
5 analyst. And this is Sherry Mills who oversees the  
6 Office of Extramural Programs, which is one of my  
7 divisions under which the SBIR program resides.

8 (Slide.)

9 Okay. And I just wanted to--that's just  
10 an appendices of the contracts. This just shows you  
11 the diversity of the contracts under SBIR. These  
12 are all the NCI contracts and the kinds of areas,  
13 the topics that the NCI solicited under the SBIR  
14 program. So you can see how very targeted these  
15 are.

16 Thank you very much.

17 I'll answer or our team will answer any  
18 questions you might have.

19 CHAIRMAN AUGUSTINE: Alright, thank you  
20 very much.

21 I saw a couple of hands up. I saw Gail  
22 and then I saw Steve and Sol.

23 DR. CASSELL: Sally, that was a very good  
24 presentation.

25 I was a member of the 2009 NAS committee

1 in terms of the SBIR program and I think it's safe  
2 to say that the report was a congressionally  
3 requested report but they were very pleased with it.

4 And I think it did help in terms of  
5 reauthorization and everything.

6 As you know or may know, in fact they've  
7 now requested yet another review and the Department  
8 of Defense, NASA, and NSF have all signed up for  
9 that but NIH hasn't to my knowledge.

10 And I wonder why because it seems to me--I  
11 understand, you've you know really undergone your  
12 own review and that's one thing but since this is an  
13 independent review and it is a congressionally  
14 mandated review I'm wondering wouldn't it maybe be a  
15 reasonable thing to be a part of that review.

16 DR. ROCKEY: So, yes, and we've been  
17 approached a number of times. As you said, the last  
18 review was 2009. What we and--what we were waiting  
19 for was one of the things that's going to happen is  
20 with the reauthorization there's quite a bit of  
21 change in the program with the reauthorization. And  
22 we thought that the 2009 study would serve as a  
23 baseline for any changes that we might implement and  
24 we felt that it was actually more timely should the  
25 group come forward and assess us after the

1 reauthorization and after we have implemented the  
2 programs to see what the impact of that  
3 reauthorization was. And that's the main reason why  
4 at this point we just thought it was a timing issue.

5 Now, of course we thought the reauthorization might  
6 happen back in 2009 but it still has not happened so  
7 things have been delayed. But we did feel it was  
8 important to get that reauthorization in there  
9 because there's a lot of changes that are in the  
10 reauthorization that we are going to have to  
11 implement immediately.

12 CHAIRMAN AUGUSTINE: Steve?

13 DR. KATZ: So my question related to  
14 exactly that--that point. That 2009 report was  
15 specifically geared toward NIH. It came out a very  
16 positive--a very positive report. So what more do  
17 we need? In other words, how often do we need such  
18 a report?

19 DR. ROCKEY: Right. I mean that was part  
20 of the reason but I do think it's critical as we  
21 implement the reauthorization that we that a look at  
22 the impact of this reauthorization and whatever  
23 flexibility different agencies are going to do to  
24 implement the new pieces of legislation.

25 So I would think that we would be willing

1 to engage once the reauthorization goes forward and  
2 then put it on a time scale where we can have some--  
3 see what the impact of those changes are.

4 CHAIRMAN AUGUSTINE: So Sol and then Bill.

5 DR. SNYDER: Of the--Amy had indicated and  
6 Francis indicated also that one concern was try to  
7 increase the excellence of the grant applications.  
8 So I was wondering about a couple of ideas. So one  
9 was that from what you described it sounds like the  
10 Small Business Administration is behind this rule  
11 that venture funded companies can't apply but since  
12 the great majority of small biotech companies are  
13 venture funded, including a lot of good ones, if  
14 that rule just vanished then you'd of course have  
15 more people applying and that would be better.

16 The other question about increasing the  
17 excellence is that biotech--the major funders of the  
18 biotech nowadays are not interested in what biotech  
19 originally was, which was to take the most avant-  
20 garde discoveries at universities and then try and  
21 create commercialized things. Nowadays the  
22 timeline--the horizon of imagination is very tiny.  
23 And so biotechs aren't doing what they're supposed  
24 to be doing. They're just doing little gimmicks  
25 because nobody will give you money unless you're in

1 Phase 2.

2           You start a brand new company with \$50,000  
3 and you're supposed to already be in Phase 2.  
4 Anyhow, but one thing that's trying to change all  
5 that is a lot of universities are having drug  
6 discovery units which are doing what the original  
7 biotech companies used to be doing and then  
8 interacting with companies.

9           I gather SBIR takes care of some of those  
10 kinds of things. And that, of course, will fit also  
11 with the NCATS approach. And I wonder whether you  
12 considered any of these things in terms of enhancing  
13 excellence.

14           DR. ROCKEY: Right, I think you're exactly  
15 right.

16           For the second point, I think that's one  
17 of the things that you all as a group can take a  
18 look at to see how the structure of the whole sector  
19 has changed and how that might be impacting any  
20 policies or processes that we put in place now--we  
21 have in place or are going to put in place.

22           For the venture capital piece of it I do  
23 want to point out that you can still have venture  
24 capital but it's--backing but it's complicated and  
25 you can't have as much and in the same structure as

1 you had had prior to 2003. Both the House and the  
2 Senate give some relief to venture capital. The  
3 reauthorization in both the House and the Senate  
4 give some relief to venture capital and--so that we  
5 could have more companies with venture capital  
6 backing participate in our program. And for NIH I  
7 think that's particularly important.

8 I also think that's important because as  
9 economy has changed venture capitalists are--some of  
10 the venture capital money has dried up as well and  
11 they are really going for those really highly  
12 innovative projects that they think could lead to  
13 potential profit and they're backing them and I  
14 think those are good ones for us to back as well  
15 because they've been something that has generated  
16 interest across the sector.

17 So it's a little odd that we would say  
18 that a company that has venture backing is one that  
19 we don't want to bet on either. You know, it seems  
20 like the opposite would be true.

21 However, I want to remind people that even  
22 when there's venture capital backing, in general,  
23 those are the projects that are further down the  
24 line. The company, one of the problems with the  
25 venture capital issue is that the company becomes

1 ineligible. Even though they're coming back for  
2 Phase 1s on projects and ideas that have not  
3 themselves had venture capital backing so because  
4 it's in that very early initial stage. So it seems  
5 a little odd to exclude a company that has venture  
6 capital backing for projects farther down on the  
7 pipeline and then exclude the company from being  
8 able to come back in and have extraordinary creative  
9 initial stage ideas.

10 But I think both of your points are very  
11 important and I think that's something that the  
12 group can take a look at. And we do, too, and we're  
13 looking at the structure, too, as things change over  
14 time.

15 CHAIRMAN AUGUSTINE: Bill?

16 DR. BRODY: Yes. In fact, I'm going to  
17 start a biotech company. I'm going to call it  
18 Groupon Biotech. That's really the only way to get  
19 funding these days.

20 (Laughter.)

21 But one of the problems--and I agree with  
22 Sol that it's great to have venture capital be able  
23 to invest and you outlined exactly the problem. You  
24 put the seed money in and then you can't get Phase  
25 1. So the counter argument, of course, is, well,

1 why should the government pay to make the venture  
2 capitalists rich.

3 But one question I have, which would  
4 obviate that problem, was could--let's say you fund  
5 Phase 1 at--I forget which phase, the beginning  
6 phase is Phase 1, and then you come back for Phase  
7 2, and now you have got three venture capital firms.  
8 Could we put our money in and get the government's  
9 money in and get equity?

10 DR. ROCKEY: Well, there are some--I mean,  
11 in general, because of the way that we support  
12 these, like everything under Bayh-Dole, there is a--  
13 all the rights that associate with Bayh-Dole also  
14 associate to the grantee.

15 DR. BRODY: But I mean equity for the--for  
16 the dollars we put in.

17 DR. ROCKEY: I mean there's things you  
18 could think about and we don't do it now but there  
19 are--

20 DR. BRODY: Because--because I know one  
21 university-- universities have struggled in the past  
22 with, you know, should their endowment invest in  
23 faculty started companies. And one university  
24 that's doing this, I think, fairly successfully,  
25 says, okay, we'll only do this--the problem is how

1 do you vet the idea?

2 DR. ROCKEY: Right.

3 Dr. BRODY: We'll only do it if we have a  
4 named venture capital in the lead in--

5 DR. ROCKEY: Right.

6 DR. BRODY: So this is--this would be

7 DR. ROCKEY: I don't think currently under  
8 our current authorization we'd be able to do that or  
9 our legislation we'd be able to do that or even  
10 under our current IP or our current investment  
11 policies or our regulations. But nonetheless, you  
12 know, there's something to think about.

13 I mean, I think one of the great joys  
14 about what this committee can do is sort of start  
15 with a clean slate and think about things that.

16 Now remember that we do have to--this  
17 is an authorized program and the program is very  
18 specific in its authorization about many things.  
19 So it's driven--

20 DR. BRODY: You mean specific to NIH.

21 DR. ROCKEY: The whole authorization.

22 So it's a very, very detailed  
23 authorization that in part drives and then, of  
24 course, we have the SBA piece of that over top.

25 So whenever we want to make changes they

1 also—they have to fit legally under the  
2 authorization and then also under the SBA policy.  
3 So that is a complication of the program but  
4 nonetheless we've been very aggressive in pursuing  
5 some of the flexibility that we have today. And  
6 usually we have made a cogent argument that's won  
7 the day when we go to the SBA.

8                 So as long as you have, you know, the  
9 justification behind it and the facts behind you,  
10 you usually can  
11 make the argument.

12                 CHAIRMAN AUGUSTINE: Others? Alright.  
13 Please? Susan?

14                 DR. SHURIN: There's another aspect, which  
15 is this is the sort of going out aspect. At the  
16 NHLBI we've been concerned about the quality of what  
17 we're supporting for quite some time. And so we  
18 have an internal process that has been going on for  
19 about the last year-and-a-half now to really  
20 identify the things that we want to see develop.  
21 And so we're putting out an increasing number of  
22 RFAs and RFPs to address the gaps that we see at our  
23 end. And so it's designed to do two things.

24                 One is it says this is a high priority and  
25 so it actually--I don't say it gets around this but

1 I think it's a motivator plus if it's something that  
2 we really want we'll invest more heavily in it. It  
3 implies a higher level of commitment on our part to  
4 see things all the way through to the end. And we  
5 think that it--we're beginning to see some real  
6 signs in some of the conversations that we have that  
7 this is impacting the way that the small businesses  
8 are thinking about these applications.

9 DR. ROCKEY: So that is a, that I think,  
10 is a really critical issue. As I have pointed out,  
11 NCI--and if we put NHLBI you'd probably see similar  
12 type things. To the degree the Institutes and  
13 Centers use it as a targeted program versus a  
14 company initiated idea. I mean, I don't think you  
15 want to ever lose the idea that these companies with  
16 their grand--their really spectacular ideas come  
17 forth and find a place. But, you know, one might--  
18 might ask the question of what's the proper mix of  
19 targeted type research versus that that's initiated  
20 by the company. And again we struggle with that  
21 obviously in our--just our base programs at each and  
22 every Institute and Center. So that's something,  
23 you know, for the SBIR program to think about as  
24 well.

25 DR. SHURIN: One other comment on that,

1       which is it sort of plays into the fact that we're  
2       also sort of simultaneously building a global health  
3       program. This enables us to make investments in  
4       U.S. companies, which then potentially will have a  
5       very wide—a very broad worldwide market.

6                     DR. ROCKEY: Right

7                     DR. SHURIN: So that this has again  
8       significant potential impact--

9                     DR. ROCKEY: Well, I--

10                    DR. SHURIN: --again much more broadly.

11                    DR. ROCKEY: Yes, and they can have global  
12       markets certainly in the actual--in the  
13       commercialization phase and their market can span  
14       across borders. But there are rules about whether  
15       or not research can happen internationally in the  
16       SBIR program because it really is targeted towards  
17       domestic organizations. But nonetheless there are  
18       some ways that you can have foreign research  
19       actually done under the program.

20                    CHAIRMAN. AUGUSTINE: Alright. If no one  
21       else had anything else?

22                    As the day has gone on, Francis, I have  
23       been thinking several times there's an organization  
24       called IN-Q-TEL here that's funded by the  
25       government; supports the intelligence community. And

1 I thought a number of times they're doing some  
2 things that might just relate to what you're doing.  
3 And Bill really brought it to mind that they award--  
4 they deal with small startups and they can award  
5 contracts and grants. They can also take equity  
6 positions. And one of the first companies they took  
7 an equity position with was a little startup that's  
8 now known as Google. And--unfortunately, they also  
9 took positions with a dozen companies you've never  
10 heard of.

11 (Laughter.)

12 But, you know, in that world you go for a  
13 batting average. You don't expect to hit on all of  
14 them.

15 But anyway they do take equity positions  
16 and--because a lot of these little outfits would  
17 rather have equity than--

18 DR. ROCKEY: Yes. I wanted to point out  
19 there was a program last year, too, called QTDP,  
20 which is the Qualifying Therapeutic Development  
21 Program, which the IRS ran. They got a billion  
22 dollars through healthcare reform. And what this  
23 was for--it was almost like a--it was a grant or a  
24 tax credit to small businesses that had actually  
25 participated in therapeutic research. And we funded

1 a lot of them. It was a billion dollars and they  
2 were able to receive I think it was 200--

3 (Simultaneous discussion.)

4 DR. COLLINS: We reviewed them but we  
5 didn't have to pay for them.

6 DR. ROCKEY: We reviewed them. Yes, the  
7 IRS paid for them but it was an interesting--we  
8 reviewed them but it was an interesting way to  
9 reward those companies that were in the therapeutic  
10 arena and they--many of our small businesses that we  
11 support through the Small Business Program are also  
12 recipients of those awards.

13 CHAIRMAN AUGUSTINE: I'd encourage you to  
14 make a contact with IN-Q-TEL. I could help you if  
15 you want. Not just on this issue but just in  
16 general. They've got some ideas that might be  
17 useful and.

18 DR. FAUCI: [not at microphone] It relates  
19 to what Norman was saying. The IN-Q-TEL model has  
20 been incorporated into the medical countermeasure  
21 approach of the BARDA, the Biomedical Advance  
22 Research and Development Association at the  
23 Department. So the IN-Q-TEL model is already being  
24 embraced at HHS level. So it would be easier than  
25 you think. We could actually connect with downtown

1 and find out what's going on there.

2 CHAIRMAN AUGUSTINE: Great. Terrific.

3 I have one other question. You mentioned  
4 six to nine months processing time. Why does that  
5 take so long?

6 DR. ROCKEY: Well, that's our typical--in  
7 fact, six months is short in our process. Part of  
8 it is driven by when our councils meet because  
9 everything takes--is necessary for second level  
10 review.

11 So you have to have--first of all, you have to give  
12 enough time for the community to respond and then  
13 enough time for the review and then to get it to  
14 council. And oftentimes--as I can maybe find that  
15 slide or maybe not--that takes six to nine months.  
16 So because we use our study section system to  
17 support or to review the small business program  
18 that's the time it takes for our--and on average  
19 sometimes we get it out in six months which is  
20 shorter than our standard programs. So, but, yes, it  
21 is an issue. The length of time is an issue.

22 CHAIRMAN AUGUSTINE: Now, for little  
23 companies like that that's pretty tough. Also--to  
24 be probably less polite than I should be--in this  
25 day and age of communications it would seem that the

1 councils ought to be able to find a way to meet on  
2 some of these things other than everybody flying to  
3 Washington.

4 DR. ROCKEY: Well, they actually--as we  
5 know, we do have some electronic agreement on  
6 reviews. They can do it outside of the actual  
7 meetings. But, yes, that is an issue. However, I  
8 will say that having three deadlines a year--  
9 companies are coming in and timing things so that  
10 they sometimes put in three grants--three  
11 applications so, you know, they're getting one thing  
12 after another funded and there really isn't gaps in  
13 their timeframe. But, yes, particularly for new  
14 start ups that are trying--buying out.

15 CHAIRMAN AUGUSTINE: That's a killer.

16 DR. ROCKEY: Yes.

17 CHAIRMAN AUGUSTINE: Anybody else want to  
18 ask any questions?

19 I guess that does it.

20 Thank you very much.

21 DR. ROCKEY: Great. And will it be--we're  
22 on hand to help you in whatever way you need as you  
23 embark on this and we'll certainly be--provide you  
24 data, provide you information, whatever you need.

25 CHAIRMAN AUGUSTINE: Thank you. We

1 appreciate it.

2 Francis, I think that it's your turn.

3 **CHARGE TO THE SMRB**

4 DR. COLLINS: Well, I appreciate Sally's  
5 very articulate summary of the program.

6 And as you can see it has a number of  
7 remarkable successes--you only heard about a few of  
8 them but I think we also feel at NIH that there may  
9 be ways the to make this program even more  
10 effective, and that's why we bring it to your  
11 attention.

12 After all, there have been seismic changes  
13 in the community in terms of biotechnology and small  
14 businesses and their need to keep going. And even a  
15 study that was done three years ago may now seem a  
16 little out of date considering how things have  
17 changed as far as access to venture capital and all  
18 the things that were just mentioned in terms of the  
19 very limited patience that venture capital has for  
20 anything that has longer than a two or three year  
21 horizon to become profitable.

22 And so all the more reason why we think  
23 our SBIR and STTR programs ought to be really fine  
24 tuned to try to capture the very best and most  
25 promising science.

1           And I think this is also something that we  
2           could do in terms of looking at this with great  
3           scrutiny that would be very well received by people  
4           who are concerned about the economy. After all,  
5           Kaufman Foundation recently points out if you want  
6           to see where are jobs actually being created, it's  
7           in small businesses. And if we're trying to create  
8           jobs we should be doing everything we can to nurture  
9           that sector and perhaps there are ways to make this  
10          program even more effective in that regard.

11           It is interesting because I've been here  
12          for 18 years, and we have sat around the table  
13          amongst Institute directors on occasion to talk  
14          about SBIRs, and the attitude of the different  
15          Institutes about this program is really quite  
16          diverse.

17           There are some Institutes that see this as  
18          an incredible opportunity. I'm sorry Rod  
19          Pettigrew didn't make it here today because  
20          apparently he has a significant back injury  
21          and is somewhere lying on the floor but if he were  
22          here he would tell you how from his perspective in  
23          the National Institute of Bioimaging and  
24          Bioengineering the SBIR program is an incredible  
25          asset because a lot of what they're doing when

1 it comes to imaging and devices fits very nicely  
2 with the small business interests.

3 You've seen the way that the NCI has  
4 tapped into this in a very intentional way. And  
5 Susan has talked about doing similar things with  
6 NHLBI. And NHGRI, I think, has seen the SBIR  
7 program because of things like DNA sequencing  
8 technology and other approaches as a real asset.

9 But there are some Institutes who are like  
10 what is this and how does it fit with our mission?

11 And part of our problem is that at the  
12 moment the way that this congressional mandate  
13 applies it applies to each of the Institutes. So  
14 each Institute has to come up with two-and-a-half  
15 percent of their appropriation to spend on this.  
16 And some would like to spend more and some would,  
17 frankly, like not to spend any. And a--so some  
18 horse trading goes on but I'm not sure it's the most  
19 efficient way to do things. And maybe that's one of  
20 the things I would be interested in a thoughtful  
21 group looking at.

22 Again, you heard already that lots of  
23 groups have looked at the program overall across the  
24 whole government. And yet I think what we might  
25 more be more interested in now is a specific look at

1 what NIH could do, what levers we have to pull. We  
2 can't ask you all to come up with the ways to change  
3 the  
4 Congress and their authorization plans. We'll have  
5 to see what comes out of their deliberations.

6 But we can ask you all to look at the  
7 flexibilities that we have and advise us about what  
8 we might do to focus this program more effectively  
9 on the most promising proposals and to be sure that  
10 we're actually hearing about them because I think  
11 again there may be ideas we never receive because a  
12 small business doesn't see us as friendly or the  
13 bureaucracy is intimidating or that six to nine  
14 month timetable just seems too long for a company  
15 that is thinking about its burn rate every day and  
16 can't really see how they can wait that long to get  
17 an answer.

18 (Slide.)

19 So I guess all of those things lead to our  
20 request that SMRB would take this on as a group;  
21 that you would consider as you see on the screen  
22 here this charge that the SMRB recommend strategies  
23 for how NIH can optimize its utilization of these  
24 programs in keeping with the NIH mission.

25 So how do we optimize what we've got?

1           And in regard to how to do that,  
2       considering how you could--we could better foster  
3       innovation within small businesses that's in  
4       alignment with the priorities of the ICs, attract  
5       quality proposals yielding the greatest potential  
6       for successful commercialization--that is the intent  
7       of the program--and leverage resources and expertise  
8       to maximize support for ensuring the success of its  
9       grantees. What can do to encourage grantees, many  
10      of whom are unfamiliar with NIH, to come to us and  
11      then to be encouraged to succeed.

12           This would be, therefore, a different kind  
13      of request than what the NRC has taken on, much more  
14      focused on our business. But one that I think is  
15      quite timely and again considering all of the ways  
16      in which we might utilize the considerable expertise  
17      of this group this seems to me as a topic that's  
18      ripe for this sort of investigation and could  
19      actually do quite a lot of good at a time where  
20      we're looking how to be sure we're spending every  
21      dollar as wisely as we can.

22           So I guess that's the charge and I'm  
23      hoping that we might, before we all disappear here,  
24      even agree about some sort of a subgroup that could  
25      take this on and some sort of structure about how to

1 accumulate the information you might want to have.  
2 And we can help with that. And Sally's team is  
3 ready and willing to give you all the information  
4 you might need to proceed down this path of getting  
5 some recommendations in front of us after about five  
6 meetings since that is a requirement which we can't  
7 get around.

8 So there we are.

9 **DISCUSSION**

10 CHAIRMAN AUGUSTINE: Thank you, Francis.

11 And in anticipating the group might want  
12 to go ahead with this, we've asked Sol if he would  
13 be willing to take on the chair of this group.

14 DR. COLLINS: A brilliant suggestion.

15 (Laughter.)

16 CHAIRMAN AUGUSTINE: He has kindly agreed  
17 to do so.

18 Sol, do you want to make any comments at  
19 this point?

20 DR. SNYDER: Nothing of profundity. I've  
21 had just a few hours notice about this great  
22 opportunity. And--but I think that some of the  
23 items that we have just been discussing indicate  
24 that there's ways that this could be done better and  
25 use it as a tool to foster the kinds of technology

1 transfer of the most basic important advances in  
2 universities to the marketplace, which is what the  
3 whole biotechnology enterprise was supposed to have  
4 been done back in the mid-1970's and it has sort of  
5 deteriorated. And we could use it as a vehicle to  
6 try and reinvigorate what--what we really want to  
7 accomplish.

8 DR. COLLINS: And we would encourage you  
9 to be bold about that and suggest things that we  
10 could do that might be a bit outside of our ordinary  
11 way of doing business. We want to be as innovative  
12 as possible here in terms of encouraging these  
13 programs.

14 CHAIRMAN AUGUSTINE: Does anyone else want  
15 to comment?

16 Does anybody have a problem with taking  
17 this on as a task? Ok. Good.

18 DR. CASSELL: We might--I think--I think  
19 we--it's a great idea.  
20 And as I--I had no idea this is what you were going  
21 to recommend.

22 DR. COLLINS: Surprise.

23 DR. CASSELL: You heard my question  
24 earlier today so it's perfect timing.

25 (Laughter.)

1                   CHAIRMAN AUGUSTINE:   Okay. Well.

2                   DR. CASSELL:   I do think it will be  
3                   important to pay attention to the NRC committee  
4                   because Congress pays attention and they're already  
5                   knocking on our door.

6                   DR. COLLINS:   Yes.

7                   DR. CASSELL:   So I think it will be  
8                   important to stay in touch with that committee at a  
9                   minimum.

10                  CHAIRMAN AUGUSTINE:   For sure.

11                  Let's proceed ahead then.

12                  And Sol, thank you.

13                  In terms of populating the committee, a  
14                  few of us have been giving some thought to people  
15                  who would have a background that might make them  
16                  particularly a good candidate to help here. But  
17                  before we roll out that list maybe we should ask  
18                  anyone in the group who does have a particular  
19                  interest in this area if you would communicate that  
20                  to Amy or to myself rather quickly. That would be  
21                  terrific. And then the next week or so we will put  
22                  together a group of volunteers to fill out the  
23                  committee as required.

24                  And, Sol, we'll obviously get with you to  
25                  work on that so that you-you're--we've got a

1 balanced group.

2 Gail?

3 DR. CASSELL: Norm, will there be members  
4 outside of this committee that will serve on that  
5 working group or just members on this committee?

6 CHAIRMAN AUGUSTINE: We haven't addressed  
7 that.

8 Amy, what's the rule?

9 DR. PATTERSON: Well that, we do

10 DR. COLLINS: You're not on. Maybe  
11 somebody else will [not at microphone].

12 DR. PATTERSON: Somebody else has to go  
13 off.

14 DR. COLLINS: Ok. There you go.

15 CHAIRMAN AUGUSTINE: [not at microphone].

16 DR. PATTERSON: Ok. Yes, we have some  
17 flexibility in that regard and what we've done on  
18 some of the other groups has been brought in ad hoc  
19 members or consultants. I think the important thing  
20 is we get the expertise that you all feel is  
21 important to have at the table.

22 CHAIRMAN AUGUSTINE: So I should pre--  
23 should probably broaden my request. If you know of  
24 other people you think would be good candidates, if  
25 you would let us know that, that would be a--that

1 would be very helpful. And then Francis and Sol and  
2 Amy and I will get together to try to put together a  
3 group that hopefully everyone will agree upon.

4 Let's see, the a--in terms of future  
5 projects it seems likely, at least to me, that there  
6 are other topics that we may want to tackle,  
7 particularly in a period of time when we're likely  
8 to see some major budget challenges. As Francis  
9 said, as somebody said, a crisis is a terrible thing  
10 to waste. And so we want to thinking about what  
11 else would be opportune to tackle this point in  
12 time.

13 I know, Bill, you have made a proposal and  
14 there are a couple of other proposals on the table.

15 And if there are other people that have  
16 thoughts in the public or in the Institutes or--and  
17 Centers or from our group as topics that might be  
18 areas where we could contribute I hope you'll  
19 communicate them to us.

20 I think we have covered--we're doing an  
21 amazing job. We're an hour ahead of time here.

22 In terms--

23 DR. COLLINS: You must be a great chair of  
24 this group--

25 (Laughter.)

1                   CHAIRMAN AUGUSTINE: We're being paid by  
2 the hour, Francis.

3                   (Laughter.)

4                   Bill?

5                   DR. BRODY: Do we have an agenda for the  
6 December meeting.

7                   CHAIRMAN AUGUSTINE: I was just going to  
8 comment. We're trying first of all to get  
9 everybody's schedules coordinated and set a date for  
10 the December meeting. And the December meeting--one  
11 of the topics will certainly be what else, if  
12 anything, do we want to tackle at this time. And  
13 we'll also start getting briefings on--for the group  
14 as a whole on the subject of the small business  
15 issues. And then we will get brief status reports  
16 on the tasks that are underway that we heard about  
17 in more depth today.

18                   And Amy, is there anything else that we--  
19 that you know of that we want to raise at that  
20 point?

21                   DR. PATTERSON: Not at--not at this  
22 juncture.

23                   We're also looking at mapping out the  
24 other meetings so that you have those on the books.

25                   CHAIRMAN AUGUSTINE: Yes, we'll do that so

1 that people can make plans.

2 Let me go around the table and be sure  
3 that everybody has had a chance to raise any issues,  
4 concerns, comments, complaints, whatever you'd like  
5 to raise.

6 Gail, anything?

7 We're just going around the table.

8 DR. CASSELL: [not at the microphone] for  
9 a change. I'm not the first one up.

10 Of course the things that Francis brought  
11 up this morning in terms of challenges in our  
12 discussions I think are ones that we all should be  
13 thinking about. And it seems like we should save  
14 some time for the December agenda to talk about some  
15 of the issues that were raised in terms of workforce  
16 issues, in terms of numbers of grants, grant  
17 sizes, not that you'd make recommendations but at  
18 least to set aside some time maybe to have some  
19 discussions around those topics or maybe to hear--I  
20 know that the working group got feedback from the  
21 community about the workforce, the size of the  
22 workforce. That was due October 7th. I don't know  
23 if those results would be--one would be able to hear  
24 those by the December meeting but that would be one  
25 that I think this group should pay attention to as

1 soon as possible.

2 The issue of the minority-underrepresented  
3 minorities in science is always a huge one. I know  
4 that some people were shocked when the survey  
5 request went out that there was no mention of that.

6 Now we see there's another  
7 working group but I'm not sure that everybody  
8 realized that that was in the works so they were  
9 surprised that there were no specific questions when  
10 talking about the size of the workforce that that,  
11 you know, issue wasn't raised. So I know that's on  
12 a lot of people's minds.

13 But, that's the only thing I can think of  
14 right off--off the top of my head.

15 CHAIRMAN AUGUSTINE: Richard?

16 DR. HODES: Nothing to add to those great  
17 suggestions.

18 CHAIRMAN AUGUSTINE: Steve?

19 DR. Katz: Nothing to add.

20 DR. Briggs : Sorry I was late. I just  
21 got relieved from jury duty. I think [not at  
22 microphone)

23 (Laughter.)

24 CHAIRMAN AUGUSTINE: Guilty or not guilty?

25 (Laughter.)

1 DR. Briggs : He was guilty.

2 CHAIRMAN AUGUSTINE: Sorry. Anything? Amy?

3 DR. PATTERSON: Just thanks to everyone.

4 CHAIRMAN AUGUSTINE: Sol?

5 DR. SNYDER: One question. When we first  
6 set up the whole SMRB thing one of the agendas was  
7 supposed to be the organization of intramural NIH,  
8 Clinical Center being one subdivision of it. Are--  
9 is that ever going to be brought up again?

10 DR. COLLINS: Yes, you're right that that  
11 working group basically had a broader charge but  
12 zeroed in on the Clinical Center as the component of  
13 the intramural program that was clearly the most  
14 urgent to try to wrestle with.

15 So I think if we're having in December  
16 some sort of broader conversation of alternative  
17 topics to weigh back into that could be on the  
18 table.

19 CHAIRMAN AUGUSTINE: I saw that as kind of  
20 a continuing process, too.

21 Susan?

22 DR. SHURIN: Nothing to add.

23 CHAIRMAN AUGUSTINE: All right.

24 DR. GREEN: Can I just ask--you said there  
25 have bubbled up a few topics that might be discussed

1 in greater detail in December. I mean is it--I mean  
2 just like the SBIR sort of was floated at an earlier  
3 meeting it was helpful to sort of have it, at least  
4 in my brain, so that when we finally came and  
5 discussed it I had been sort of cognizant of it.  
6 Are any of the topics that have bubbled up worth at  
7 least mentioning?

8 CHAIRMAN AUGUSTINE: Yes, I think they  
9 are. Bill, you raised a point--good idea. Would  
10 you mind giving a quick summary?

11 DR. BRODY: (Not at microphone-inaudible).  
12 (Laughter.)

13 CHAIRMAN AUGUSTINE: Alright, you had  
14 raised in an email to Francis and myself an idea for  
15 something that the group might look at.

16 DR. BRODY: Well.

17 DR. COLLINS: Do you want to turn your  
18 microphone on?

19 DR. BRODY: Oh, I wasn't sure what you  
20 were referring to earlier but I think I--anyway, we  
21 resolved it in the discussion. I mean we didn't  
22 resolve the issue but we discussed it and I think we  
23 agreed that it wasn't a structural--something that  
24 required structural change so it was sort of  
25 outside--organizational change so it was outside the

1 purview of our group. Is that it?

2 DR. COLLINS: This is where it does get a  
3 little complicated to figure out. Within the  
4 congressional authorization for SMRB in the NIH  
5 reauthorization act what are the kinds of topics  
6 that fit this deliberative body appropriately?  
7 And I can tell you, we are always sort of trying to  
8 figure out internally as well. When something comes  
9 up we have the opportunity to ask SMRB to tackle it  
10 or the Advisory Committee to the Director, which is  
11 also a very distinguished group of outside experts  
12 and to which we've assigned the tasks right now on  
13 the diversity issue and on the biomedical workforce  
14 issue with working groups that are hard at work.  
15 And, again, glad to have their efforts put forward  
16 to this group for information but I think we'd want  
17 to be careful not to start off in some parallel or  
18 even competing track to try to tackle the same  
19 problems that are already under study by another  
20 group.

21 The whole question of managing science in  
22 challenging fiscal times is a little hard to be  
23 sure. That sort of is everywhere. And it's  
24 certainly from NIH's perspective is a topic that we  
25 talk about every time we get together at our

1 leadership forum, around the table on Thursdays with  
2 the steering committee or Institute  
3 directors. And now increasingly in conversations  
4 with outside constituencies like ARRI, AAU and APLU  
5 and AAMC and all those other acronyms that we count  
6 on for wise advice and now with maybe consideration  
7 about whether an RFI ought to be appropriate.

8           So when Bill and I talked about this  
9 before we agreed that we're facing a major challenge  
10 in terms of how we oversee NIH's research abilities  
11 to support institutions but it wasn't clear that  
12 that was a structural issue given that SMRB is  
13 particularly charged with advising NIH about its  
14 organization and changes that might improve our  
15 ability to carry out the mission.

16           So I guess while maybe there's a space in  
17 there for some component of it to fit that, I think  
18 the overall problem is probably less structural than  
19 it is kind of a policy decision about how we decide  
20 to set priorities and what kind of mechanisms we use  
21 to carry them forward.

22           Tony, you've thought about that issue for  
23 a long time so maybe I should ask your input here in  
24 terms of that very large question of managing  
25 science and challenging fiscal issues and how SMRB

1 might or might not play a role in that?

2 DR. FAUCI: Francis, I think the point  
3 that you made just a moment ago, you said it's such  
4 a large topic, I don't even think that you can  
5 address it as the whole topic and maybe pick out  
6 one, or two or three of the many things that we put  
7 on the list of how we might approach it and say what  
8 about this particular issue as opposed to throwing  
9 out to the SMRB the whole subject matter. I think  
10 we would get drowned by that so that would be my  
11 suggestion.

12 CHAIRMAN AUGUSTINE: So my personal view  
13 here having talked to an awful lot of people on the  
14 subject lately is that one issue in times of great  
15 fiscal austerity that does have some structural  
16 implications but it's certainly not totally a  
17 structural issue is how can one more efficiently  
18 manage the grants process so that investigators can  
19 make better use of their time, better use of the  
20 money that's allocated to them and so on? And  
21 that's a thought.

22 It doesn't fit the structural definition  
23 perfectly; on the other hand neither does the SBIR  
24 fit the structural definition perfectly. So I think  
25 we're dealing with shades of gray.

1           And I think with the next meeting we're  
2 probably going to want to devote some time to this.

3           So that certainly the last thing in the  
4 world I think this group wants to do is go stomping  
5 through the cabbage patch. At the same time we on  
6 this committee have a fiduciary responsibility to  
7 Congress and to Francis and the NIH, and we want to  
8 carry that out. So we'll be able to deal with that.

9           DR. GREEN: Could I again--in hearing this  
10 discussion and seeing that there's not huge numbers  
11 of obvious issues to tackle or topics to tackle  
12 next, and I'm a little worried that we'll get here  
13 in December and we'll sit around this table and  
14 there won't be a whole lot of things to chew on  
15 except just come out with some ideas.

16           I mean is there anything we can be doing  
17 to try to solicit ideas, either by--here at the NIH  
18 or our grantees? I mean what's the right way to  
19 sort of collect ideas that are worth discussing that  
20 are within the purview of this group because it is  
21 more structural and not just everything.

22           I don't know. I mean I'm trying to think.

23           I mean some of the topics that were  
24 originally chewed on were sort of teed up already.  
25 Now is the hard part. And I wonder--I'm just

1 wondering maybe we have to go outside this committee  
2 or maybe we need to have a call for sort of ideas in  
3 some way.

4 I'm just--I'm thinking out of the box  
5 here. I'm just worried that we're going to get here  
6 in December and just stare at each other across the  
7 table.

8 CHAIRMAN AUGUSTINE: Interesting. That  
9 was my view of one of the roles of that first group  
10 we set up was to continually--on a continuing basis  
11 to look outside, inside and tee up in front of the  
12 NIH leadership and the group, including the NIH  
13 members, tasks where we could make a contribution.

14 Richard, you were going to say something?

15 DR. HODES: No, no. I had forgotten about  
16 that. (Not at microphone-inaudible).

17 CHAIRMAN AUGUSTINE: You remember that was  
18 sort of the idea. Maybe we need to reinvigorate it  
19 to do exactly what you said. We'll take that under  
20 advisement.

21 DR. CASSELL: Well, Sol raised the  
22 question of the intramural program and I wondered--  
23 that's a huge topic you could spend a lot of time  
24 on. I don't know if you want to say a few more  
25 words about what you had in mind. (Not at

1 microphone-inaudible).

2 DR. SNYDER: When it came up originally  
3 the background in my own personal case was some  
4 years ago I chaired a blue ribbon committee to  
5 evaluate intramural NIMH. And Elias Zerhouni set it  
6 up and he said he wanted that to be a dry run for  
7 doing the same thing for the whole NIH because  
8 intramural is intramural.

9 And the concerns were that out there in  
10 the extramural world there was an image that  
11 intramural is--gets all this money and they are  
12 lower quality than extramural. And it's because if  
13 after they have been around for two years they have  
14 life long tenure and the secretaries have tenure  
15 after six months and it should be re-investigated.  
16 And so our blue ribbon committee came up with  
17 recommendations with sorts of things like utilizing  
18 the intramural program as a training device where  
19 people could--appointments would be clearly time  
20 limited and people would be encouraged after five  
21 years or maybe after ten years to go in the external  
22 world and they could be further encouraged for  
23 universities to want to recruit them, and they would  
24 have a reverse dowry. They would be given money to  
25 leave town and things like that. And that--some of

1 the issues are meant to reinvigorate although no one  
2 is saying the intramural program isn't of great  
3 excellence but to enhance its configuration by  
4 whatever.

5 DR. CASSELL: So I had I guess the  
6 privilege--or some might not consider it a privilege  
7 to co-chair the committee with Paul Marks to do that  
8 intramural or the review of the entire intramural  
9 program a little over a decade ago and then we  
10 recommended that each Institute be reviewed  
11 individually because we--there was no way our  
12 committee could do all of them justice.

13 So when Elias asked me to serve on this  
14 committee he said it's time. So I don't maybe  
15 disagree that it might be worth thinking about not  
16 necessarily the same type of overall review that was  
17 done before but maybe certain aspects of it that we  
18 could maybe think about that might be worth diving  
19 deeper on.

20 CHAIRMAN AUGUSTINE: A lot of good  
21 comments.

22 We'll take those aboard.

23 Francis?

24 DR. COLLINS: Yes, just by way of  
25 information, I think those reviews that were done

1 did, in fact, result in substantial changes in the  
2 way the intramural program is reviewed and now every  
3 investigator is reviewed rigorously on a quadrennial  
4 basis.

5 My lab just went through this a month ago  
6 so I can tell you it indeed rigorous.

7 (Laughter.)

8 We're waiting for the written report.

9 DR. GREEN: I have seen the written report  
10 and I was at the exit interview and it was really  
11 pretty disgusting.

12 (Laughter.)

13 DR. COLLINS: Let me point out the  
14 reviewers did not have NIH funding. They all came  
15 from Europe and Canada just to be sure that there  
16 was no kind of conflict of interest.

17 So I think the whole rigor of the  
18 intramural program was substantially tightened up  
19 and the Cassell-Marks panel had a lot to do with  
20 that. And it is certainly the case that people who  
21 do not come through those programs looking as if  
22 they're competitive lose resources and are  
23 encouraged to move on.

24 So if there were times in the past where  
25 things were allowed to slide, they're not allowed to

1 slide now.

2 Just the same, I'm sure there are other  
3 aspects of intramural that would be worth having  
4 another big look at. I'm again trying to figure out  
5 what's the timing and what's the right group but  
6 it's probably something we should put on the list to  
7 talk about in December.

8 DR. CASSELL: I'm sorry Michael Gottesman  
9 is not here. He was this morning.

10 I think he's done a superb job as the  
11 intramural program in terms of implementing most of  
12 those recommendations.

13 One of the--well, I wrote up the section  
14 on training and one of the beasts was broader  
15 advertisement of those positions when they became  
16 available because they're such prime positions. And  
17 almost any week you take a look in *Science* you may  
18 see these ads--the positions advertised, which is a  
19 big step in the right direction because formally  
20 that was not occurring. You know, there was not a  
21 broad net cast in terms of recruitment of scientists  
22 to the intramural program. And any number of other  
23 things that  
24 I could comment on that at least as far as the  
25 training aspect that I have certainly observed.

1           I've participated in two of the individual  
2           Institute reviews, NIAID and NIEHS, and I think  
3           that--well, again, a lot of changes have been made  
4           and good changes at that.

5           DR. SNYDER: I was just--the comments I  
6           made--I wasn't saying what I was thinking. I was  
7           saying this is the caricature in the outside world  
8           of intramural NIH and I'm fully aware of your  
9           valuable committee and how things have been changed  
10          and the tenure system is--has lots of rigor now.

11          CHAIRMAN AUGUSTINE: Continuing around the  
12          table. Griff?

13          DR. RODGERS: Nothing else to add.

14          CHAIRMAN AUGUSTINE: Bill?

15          DR. BRODY: Well, in some ways the  
16          ultimate question is where is the budget go because  
17          that kind of dictates what kind of response is  
18          required.

19          And I think absent--absent that it's hard to make  
20          the case--I mean it's one thing if you're in  
21          industry and you see the winds of change and you  
22          were able to implement some things. I think it's  
23          harder in a public organization to make the

24          CHAIRMAN AUGUSTINE: Absolutely.

25          DR. BRODY: substantial changes that might

1 be required--that you or I or somebody here might  
2 think or even collectively think unless there is  
3 sort of a--well, I guess what I would call a budget  
4 crisis, which may, in fact, happen.

5 I mean it--when people ask me where is the  
6 NIH budget I say you just tell me where the Congress  
7 is going to go on the federal budget and I can give  
8 you some idea, and lacking that it's sort of hard to  
9 predict, right.

10 And so I think that in some sense--but I  
11 think I would be happy to have our committee at  
12 least discuss some things in consultation with  
13 Francis and whoever else you would like because I  
14 don't think we want to get out free-wheeling or, as  
15 you said, stomping the cabbage patch.

16 CHAIRMAN AUGUSTINE: It's a technical  
17 term.

18 DR. BRODY: Yes.

19 (Laughter.)

20 CHAIRMAN AUGUSTINE: It is true that, you  
21 know, if you're looking at a 10 percent budget cut,  
22 to pick a number, if you can increase your  
23 efficiency by 10 percent you're hanging in there.  
24 And so it pays to be looking at both sides of that.

25 Francis, you get the last word as always.

1           DR. COLLINS: Well, it is interesting to  
2           imagine how this conversation might play out on  
3           December 21<sup>st</sup>, which I believe is the day that has  
4           been chosen for the next SMRB. There were a couple  
5           of dates floating--floated around but that seemed to  
6           be the one where we had the strongest list of  
7           positives.

8           Just to sort of put that in context of  
9           other things that will be happening, you're probably  
10          are aware that the super committee is supposed to  
11          put forward their recommendations about how to cut  
12          \$1.2 trillion by November 23<sup>rd</sup>, right before  
13          Thanksgiving, and then the Congress is supposed to  
14          consider those recommendations and they have a up or  
15          out vote by December 23rd. So if we're here on the  
16          21<sup>st</sup> we'll be on the cusp of God knows what kind of  
17          tension and crisis atmosphere.

18          Nobody is really clear what the super  
19          committee is going to be able to put forward because  
20          obviously finding those numbers of billions and  
21          trillions is going to be extremely challenging. And  
22          yet most people, I think, are horrified at the  
23          concept that they might fail because of the  
24          sequestering that would then kick in.

25          And not to be too gloomy about it but if,

1 in fact, the super committee fails or the Congress  
2 refuses to go along with the recommendation, the  
3 consequence of the sequesters for NIH would be truly  
4 Draconian. And if we are at that phase on December  
5 21st, we really will have to think very hard about  
6 how to manage in not just stressful times but  
7 potentially disastrous times.

8 So that will be fun to sort of plan for  
9 and prepare for. Perhaps things will look a little  
10 brighter and our system will have actually found a  
11 way to achieve some kind of compromise. We all hope  
12 so.

13 But I think this has been a very--  
14 extremely helpful day from my perspective being able  
15 to get your feedback on the projects that you've  
16 already put in front of us on the Clinical Center,  
17 on addiction and drug use and abuse and certainly on  
18 NCATS has been very helpful.

19 And I appreciate your willingness to take  
20 on the SBIR/STTR project because I think there's a  
21 real potential there to do some good for a component  
22 of our portfolio that we really want to be  
23 absolutely exceptionally high quality.

24 And we'll have to see. You have to sort  
25 of keep your seatbelts fastened and stay loose on

1 your feet here in the coming weeks and months  
2 because it is so hard to know exactly which  
3 trajectory we're on. And who knows. Maybe we'll  
4 actually find our way out of the woods in a while  
5 but it doesn't look likely that it's right around  
6 the corner.

7           So, Norm, thank you for your able and  
8 expert leadership of this group, and to all the  
9 members for putting your time into being here and  
10 all the things we ask you to do in the interim.

11           And, Sol, thank you for agreeing to take  
12 on this latest task with those few hours of  
13 notification. Appreciate your willingness to do  
14 this.

15           And we will see what we can get done  
16 between now and a--well it's not very far away, a  
17 couple of months from now when we all gather to have  
18 a holiday or a wake or whatever it turns out to be  
19 on December 21st. Thanks.

20   **NEXT STEPS**

21           CHAIRMAN AUGUSTINE: Francis, I was just  
22 going to thank you for your leadership of the  
23 organization. And you certainly got here in  
24 challenging times. I must say that.

25           And thank all the members of the

1 SMRB for your good work and thank the members of the  
2 public who have been sharing their views with us and  
3 spending their time with us.

4 And a special thanks, Amy, to you and your  
5 very able team that puts these things together and  
6 organizes them to the point that we can't mess it up  
7 too badly.

8 So anyway, everybody--I guess December 21<sup>st</sup>  
9 apparently is the official date. Does that sound  
10 right? So if you'll mark your calendars and  
11 everybody have a safe trip home.

12 Thank you.

13 DR. COLLINS: Thank you.

14 CHAIRMAN AUGUSTINE: The meeting is  
15 adjourned.

16 (Whereupon, at 2:00 p.m., the proceedings  
17 were adjourned.)